

U.S. DEPARTMENT OF LABOR
OCCUPATIONAL SAFETY AND HEALTH ADMINISTRATION

ADVISORY COMMITTEE ON CONSTRUCTION
SAFETY AND HEALTH

U.S. Department of Labor
Room N-3437 A/B/C, Frances Perkins Building
200 Constitution Avenue, N.W.
Washington, D.C. 20210

Thursday, December 15, 2011

8:05 a.m.

Diversified Reporting Services, Inc.

(202) 467-9200

COMMITTEE MEMBERS PRESENT:

EMPLOYEE REPRESENTATIVES:

Erich J. (Pete) Stafford, Chairman

Gary L. Batykefer

Walter A. Jones

Laurie A. Shadrick

Gerald Ryan

EMPLOYER REPRESENTATIVES:

Michael J. Thibodeaux

Kevin R. Cannon

Thomas Marrero

Daniel D. Zarletti

William E. Hering

STATE REPRESENTATIVES:

Charles Stribling

Steven D. Hawkins

PUBLIC REPRESENTATIVES:

Letitia K. Davis

Jewel Elizabeth (Liz) Arioto

FEDERAL REPRESENTATIVES:

Matt Gillen

DESIGNATED FEDERAL OFFICIALS:

Ben Bare

COMMITTEE COUNSEL:

Sarah Shortall, Counsel

C O N T E N T S
FULL COMMITTEE AGENDA, DECEMBER 15

PAGE

Opening Remarks/Agenda - Chairman Stafford	12
Update on OSHA's Outreach Efforts and Enforcement, Directorate of Construction	15
Diversity and Women in Construction Multilingual Issues Work Group Report	23
Emerging Issues and Health Hazards in Construction Work Group Report	33
DOC Regulatory Update, Directorate of Construction	46
Presentation by Assistant Secretary David Michaels	56
Tunneling Presentation by Seattle Tunnel and Rail Team (START) - Anita Johnson, Lee Dutcher, and Steve Stier	111
NIOSH Update	173
Afternoon Session	213
Injury and Illness Work Group Report	213
Prevention through Design Work Group Report	225
Update on the Direct Final Rule on Head Protection, Directorate of Construction	237
Sewage Treatment Plant Failure, Gatlinburg, Tennessee - Mohammad Ayub, Office of Engineering Services	247
MOTIONS: Pages 27, 28, 39, 220, 221, 231, 232, 234, 244	

E X H I B I T S

NUMBER	DESCRIPTION	PAGE
1	Agenda for the December 15-16 ACCSH meetings	22
2	Agenda for the December 13-14 ACCSH work group meetings	22
3	Approved Diversity and Women in Construction Multilingual Issues Work Group report from December 14 meeting	30
3A	Six examples of construction pictorial training aids	30
3B	CAL OSHA Water, Rest, Shade DVD	30
3C	CAL OSHA Water, Rest, Shade Discussion Guide for the DVD	30
3D	CAL OSHA Water, Rest, Shade Key Safety Fact Sheet in English	30
3E	CAL OSHA Water, Rest, Shade Key Safety Fact Sheet in Spanish	30
3F	CAL OSHA Water, Rest, Shade Key Safety Fact Sheet in Punjabi	30

E X H I B I T S

NUMBER	DESCRIPTION	PAGE
3G	CAL OSHA Water, Rest, Shade Key Safety Training Kit	30
3H	CAL OSHA Heat Kills Quick Guide in English and Spanish	30
3I	CAL OSHA Water, Rest, Shade poster	30
3J	CAL OSHA Lifting Safer pictorial poster in English and Spanish	30
3K	Laborers Health and Safety Fund of North America Health Alert, especially for women personal protective equipment	30
3L	Laborers' Hazard Alert, especially for women health and safety training	30
3M	Laborers' Health Alert especially for women and on sanitary toilet facilities	30
3N	Updated list of construction trade organizations for women	30

E X H I B I T S

NUMBER	DESCRIPTION	PAGE
30	List of women in construction organizations	30
3P	OSHA IMIS data on fatalities by gender in construction and in highways, street and bridge construction operations	30
4	Approved Emerging Issues and Health Hazards in Construction Work Group report from the December 13 meeting	46
4A	Silica Safe website PowerPoint presentation by Eileen Betit	46
4B	Methylene chloride paint stripping agents and bathtub refinishing jobs' PowerPoint, presentation by Jerry Houvener and David Valiente	46
4C	Michigan fatality assessment and control evaluation bathtub refinishing hazard alert	46

E X H I B I T S

NUMBER	DESCRIPTION	PAGE
4D	MIFACE investigation and research on methylene chloride cause of death of three Michigan bathtub refinishers	46
4E	MIFACE investigation report number 10MI013, subject "Tub Refinisher Died Due to Methylene Chloride Over Exposure While Stripping a Bathtub"	46
4F	OSHA methylene chloride publication number 3144-06R	46
4G	Methylene chloride topics page on OSHA's website	46
4H	Copy of the under pressure hyperbaric construction safety regulation, 21st Century PowerPoint by Nicholas Reul, University of Washington School of Public Health	46

E X H I B I T S

NUMBER	DESCRIPTION	PAGE
4I	Copy of the script for the under pressure hyperbaric construction safety regulation for the 21st Century PowerPoint by Nicholas Reul	46
5	DOC/OSHA update PowerPoint presented by Jim Maddux, Director of the Directorate of Construction	110
6	PowerPoint presentation by Dr. David Michaels, Assistant Secretary of Labor for Occupational Safety and Health	110
7	Tunnel Advances, PowerPoint, presented by Anita Johnson, Seattle Tunnel and Rail Team, START	170
8	Tunnel Advances, hard copy handout's from START	170

E X H I B I T S

NUMBER	DESCRIPTION	PAGE
9	Nail Gun Safety, A Guide for Construction Contractors, a joint publication of OSHA and NIOSH, OSHA Publication No. 3459-8-11	211
10	Integrating Safety and Health into Green Construction, a PowerPoint presented by Matt Gillen of NIOSH	211
11	Preventing Falls in Construction, Planning a National Campaign, a PowerPoint presented by Dr. Christine Branche, NIOSH	211
12	Approved I2P2 work group report from the December 14 meeting	224
12A	California OSHA workplace injury and illness prevention model program	224
13	Approved prevention through design work group report from the December 13 meeting	236

E X H I B I T S

NUMBER	DESCRIPTION	PAGE
13A	What Does Prevention Through Design Mean to Construction, a PowerPoint presentation by Walter Jones, ACCSH member	236
13B	OSHA's alliance program on construction roundtable prevention through design products and activities PowerPoint presented by Eric Lahaie	236
13C	Construction work place design solution for sky light or sky light guards developed by the alliance roundtable	236
13D	Examples of OSHA construction standards that address design issues presented by Walter Jones, ACCSH member	236

E X H I B I T S

NUMBER	DESCRIPTION	PAGE
14	Presentation on the proposed rule, direct final rule, to revise OSHA's head protection standard for the construction industry	245
15	Flow Equalization, Basin Wall Collapse at Waste Water Treatment Plant in Gatlinburg, Tennessee, a PowerPoint presented by Mohammad Ayub from Directorate of Construction	270

(All exhibits were entered into the
record on page 21.)

1 P R O C E E D I N G S

2 (8:05 a.m.)

3 OPENING REMARKS/AGENDA

4 CHAIRMAN STAFFORD: I'll call the meeting to
5 order. Good morning. My name is Pete Stafford. I am
6 an employee representative on ACCSH representing the
7 Building and Construction Trades Department of the
8 AFL-CIO, and we welcome you here this morning.

9 This is also my first meeting as chair. I'd
10 like to start off saying it is my honor to be a chair
11 of this committee and work with my fellow ACCSH members
12 and everyone in this room today.

13 Congress intended for this committee to guide
14 OSHA on matters of regulation and policy, and we
15 certainly intend to do that, but also we have issues
16 that we will discuss that are short of regulation or
17 while we are waiting for regulation on how we can move
18 information out to the industry about the hazards we
19 know and how to prevent them.

20 For the purposes of those discussions, we have
21 listened to the work groups, and everyone's comments on
22 these issues would be welcomed.

1 With that, we have a quorum here today. Let's
2 start by self introductions starting to my right.

3 MR. BARE: I'm Ben Bare. I am a Deputy in the
4 Directorate of Construction and the DFO for the
5 Advisory Committee.

6 MR. HAWKINS: My name is Steve Hawkins. I'm
7 with Tennessee OSHA. I'm an ACCSH member representing
8 public safety agencies.

9 MR. BATYKEFER: Gary Batykefer with the Sheet
10 Metal Occupational Health Institute, ACCSH member,
11 employee rep.

12 MR. RYAN: Gerry Ryan, Plasterers and Cement
13 Masons International Union. I'm an employee rep for
14 ACCSH.

15 MR. ZARLETTI: Dan Zarletti with Road Safe
16 Traffic Safety in Chicago. I'm an employer
17 representative with ACCSH.

18 MS. DAVIS: Letitia Davis, Massachusetts
19 Department of Public Health, and I'm a public rep.

20 MR. THIBODEAUX: Mike Thibodeaux, NAHB,
21 employer rep.

22 MR. GILLEN: Matt Gillen, NIOSH, Construction

1 Safety and Health, and I'm a NIOSH Federal rep.

2 MR. CANNON: Kevin Cannon, The Associated
3 General Contractors of America, employer rep.

4 MS. SHADRICK: Laurie Shadrick, Plumbers and
5 Pipefitters, ACCSH employee rep.

6 MS. ARIOTO: Liz Arioto representing the
7 public, Elizabeth Arioto Safety and Health Consulting.

8 MR. MARRERO: Tom Marrero, Zenith Systems,
9 National Electrical Contractors Association, employer
10 rep.

11 MR. HERING: Bill Hering, SM Electric, Matrix,
12 and the Association of Union Constructors, and also an
13 employer rep.

14 MS. SHORTALL: Sarah Shortall, Office of the
15 Solicitor, ACCSH counsel.

16 CHAIRMAN STAFFORD: Thank you. We'll start in
17 the back. We'll start with our good friend, Scott
18 Snyder, in the back. If you could please state your
19 name and who you represent.

20 (Audience introductions.)

21 CHAIRMAN STAFFORD: Thank you very much, and
22 again, welcome.

1 We have a full agenda over the next day and a
2 half. We will have Dr. Michaels who will be joining us
3 this morning. We will take a break after that.

4 We have to be a little flexible here. One of
5 our presenters will be here, but we have a little
6 technical issue, so it will take a few minutes between
7 that time after the last presentation before we get
8 that set up, so you will have to bear with us.

9 I'd like to turn it over to Ben Bare, who is
10 our designated Government official, to make a few
11 announcements, and one of the things we had talked
12 about in terms of coordinating this meeting is we could
13 start each meeting out, starting today, talking about
14 them, and then we will address the recommendations made
15 by this committee at its last meeting and the actions
16 that OSHA has taken on those recommendations.

17 With that, Ben, please.

18 UPDATE ON OSHA'S OUTREACH EFFORTS AND ENFORCEMENT

19 MR. BARE: Okay. Thank you. I want to
20 welcome the committee here to our DOL Building and to
21 the Nation's Capital, wish all of you happy holidays
22 and your families.

1 In case we have an emergency situation and we
2 have to evacuate the building, I'd like to just review
3 those emergency procedures with you.

4 We're located in Room N-3437 in the Frances
5 Perkins Building. This is a designated shelter place.
6 Should the audio alert be issued advising a shelter
7 place, we will be required to stay in this room.

8 I've been advised there is ample food and
9 gourmet type treats some place here. We haven't found
10 it yet. We will be looking for it.

11 If there is an evacuation declared, a very
12 loud continuous alarm will sound. Once you hear the
13 alarm, you must evacuate the building immediately.

14 ACCSH members and the public attendees must
15 walk to the marked exit in this room. They are on my
16 right. Upon exit, turn left or right and use the first
17 exit door in the hallway to travel down the stairs.
18 Please do not use the elevators, and proceed to go out
19 of the main lobby exit doors. Once outside, get away
20 from the building.

21 The Directorate of Construction is located at
22 D Street and Louisiana Avenue at the Japanese Memorial.

1 If you wish, you are welcome to hook up with us at that
2 location.

3 If there is anyone here with special needs,
4 that you will need to use the elevator to evacuate, let
5 one of our staff know, and we will be happy to assist
6 you or make sure you have assistance in evacuating.

7 As a point of interest, I just wanted to
8 welcome Gerry Ryan or Gerald Ryan. He is the Director
9 of Training, Health and Safety, for the Plasterers and
10 Cement International Association, Masons International
11 Association. Welcome, Gerry, to your first meeting.

12 MR. RYAN: Thank you.

13 MR. BARE: There are a lot of sights and
14 sounds around. This is the Xmas season in the Nation's
15 Capital. If you have time, be sure to take advantage
16 of that.

17 There is a very interesting scaffolding job
18 being done at Union Station. That might be of interest
19 for some of you to see.

20 As you can see, we have a very full agenda
21 ahead of us. As a point of interest, the Agency is
22 soliciting new ACCSH members. That announcement

1 appeared in the Federal Register on November 23.

2 Copies of that Federal Register announcement can be
3 found at the handout table in the back.

4 With that, I'd like to just briefly go over
5 the motions and action items from our last meeting on
6 July 28.

7 There was a motion that the Prevention Through
8 Design and Green Jobs' Committees be combined into one
9 work group. Those work groups have been combined.

10 There was another motion that ACCSH recommend
11 that OSHA set up a backing operations hazards page on
12 the OSHA website. DOC is working on the website page
13 and has asked the work groups for their input. I think
14 there will be some additional information about that
15 tomorrow.

16 There was also a recommendation from ACCSH
17 that OSHA translate residential construction fall
18 protection guidance documents into Spanish.

19 As we heard yesterday, the residential fall
20 protection PowerPoint and 12 animated construction
21 video's are now available, five of which of the 12
22 animated video's are in Spanish.

1 The Spanish iteration of the PowerPoint is
2 just about complete, but we are working on that and
3 should have that in the next few days.

4 There was a recommendation that OSHA translate
5 general guidance documents to Spanish. I think those
6 two recommendations related to each other, so again, we
7 have the guidance document for residential
8 construction, the overall main document translated into
9 Spanish for residential, and it is on our website.

10 There was a recommendation that OSHA conduct a
11 direct final rule to update the construction PPE
12 standards to mirror the general industry PPE
13 requirements. OSHA is working on the first
14 installment, head protection, through a direct final
15 rule that is being proposed.

16 I think the Director, Jim Maddux, will expound
17 on that and provide some additional information later
18 in the meeting during our DOC update.

19 There was a recommendation that OSHA use the
20 Women in Construction Fact Sheet developed by the
21 Diversity and Women in Construction Multilingual Issues
22 Work Group as an official OSHA publication on our

1 website.

2 While this is not complete, DOC's Danessa
3 Quintero, is continuing to research this issue to
4 develop a safety related fact sheet.

5 In addition, the work group will be tasked
6 with review and comment on some of the animated video's
7 which were discussed in the work group yesterday.

8 There was a recommendation that OSHA gather
9 information for I2P2 rulemaking by holding stakeholder
10 meetings with VPP and SHARP members to discuss
11 developing and implementing an effective I2P2.

12 The Directorate of Construction is considering
13 inviting a couple of state plan state representatives
14 who have regulations on the books requiring employers
15 to implement safety and health programs.

16 DOC is considering getting a construction VPP
17 participant to review their input and provide
18 information on implementing and managing a safety and
19 health program from a construction standpoint.

20 There was a recommendation that OSHA initiate
21 rulemaking establishing or addressing reinforcing steel
22 and post tensioning. Their request for information, an

1 official document request for information, went to OMB
2 on November 3 for their review.

3 Back over and reinforcing issues were
4 combined. However, they are on a separate track, but
5 they are combined, so we have completed that
6 recommendation.

7 That's it for the update that I have.

8 CHAIRMAN STAFFORD: All right. Thank you.

9 Any questions for Ben?

10 (No response.)

11 CHAIRMAN STAFFORD: Our colleague from the
12 Kentucky Labor Cabinet has made it. Chuck, would you
13 mind introducing yourself?

14 MR. STRIBLING: I apologize. Good morning.
15 My name is Chuck Stribling, Kentucky Labor Cabinet, and
16 obviously, a state representative.

17 CHAIRMAN STAFFORD: Thanks. Ms. Shortall, any
18 administrative issues?

19 MS. SHORTALL: Yes. I have a few. First of
20 all, all the exhibits from this meeting will be entered
21 into the public docket for the meeting, which is Docket
22 No. OSHA-2011-0124. The Docket number is also

1 identified in the Federal Register Notice of this
2 meeting.

3 The first two exhibits I'd like to enter into
4 the record are as Exhibit 1, the agenda for the
5 December 15-16 ACCSH meeting, and as Exhibit 2, the
6 agenda for the December 13-14 ACCSH work group
7 meetings.

8 (Exhibits No. 1 and 2
9 were marked for
10 identification.)

11 CHAIRMAN STAFFORD: Okay. Thank you. Let's
12 go ahead and get started with the agenda.

13 For the last two days -- you know under ACCSH,
14 we have six active work groups going. For the next day
15 and a half, we will have the reports from these work
16 groups.

17 We'd like to start this morning -- I'm sorry.
18 As a reminder, for anyone that wants to make public
19 comments, we will have a period at the end of the day
20 and at the end of tomorrow, depending on the timing.

21 For that purpose, there is a sign-in sheet in
22 the back. Please feel free if you want to comment to

1 sign that sheet.

2 With that said, let's go ahead and start with
3 our first work group report this morning, which will be
4 our Diversity Work Group. Our co-chairs, Liz Arioto
5 and Laurie Shadrick. Please.

6 DIVERSITY AND WOMEN IN CONSTRUCTION

7 MULTILINGUAL ISSUES WORK GROUP REPORT

8 MS. ARIOTO: The first sheet is the attendees
9 that attended the meeting. We opened it with a welcome
10 and self introductions. The minutes of the July 27,
11 2011 meeting were reviewed.

12 I do apologize if you find some typo errors in
13 this report. I was working on it this morning, so you
14 may find a few. Please forgive me.

15 CHAIRMAN STAFFORD: That's okay. None of us
16 don't read very well anyway, Liz.

17 MS. ARIOTO: Mr. Maddux talked about the
18 animated video's that are now on line in either English
19 or Spanish. This is the first part, how do we like
20 them and how to expand on them if possible.

21 Mr. Mark Hatch presented an animated video
22 that is on the OSHA website. He explained how they

1 came about, and there are 12 of them on line. They are
2 available on YouTube. There were over 57,000 hits
3 since released, and he had 13,000 downloads.

4 Discussion was held on the standards across
5 the country and how they are different. Mr. Steve
6 Hawkins and Mr. Chuck Stribling discussed about each
7 state and their rules and regulations.

8 The work group was asked to bring to the next
9 ACCSH meeting training materials to review for
10 discussion.

11 Mr. Gerry Ryan stated he likes real pictures.
12 Dr. Christine Branche said NIOSH had some real pictures
13 that we might be able to use. The work group stated
14 that they liked the pictorials.

15 The ISSA's, International Social Security
16 Administration, pictures provided information, and we
17 may be able to use them for information data to
18 reference.

19 Information was distributed to the group
20 including CDs and informational packets.

21 Mr. Chuck Stribling brought up the discussion
22 on how these were distributed nationwide through OSHA.

1 Ms. Danessa Quintero spoke about the
2 development of different types of materials for the
3 work group. Example, animated video's, pictorials, and
4 she thought both were good.

5 Letitia Davis and Mr. Gerry Ryan reiterated
6 the fact that it is the employer who is responsible for
7 the health and safety of the workforce, and the fine
8 line with workers' rights.

9 Danessa spoke about the Women in Construction
10 web page, and what was needed to go forward. She
11 talked about the items available for putting them
12 on-site, which included health alerts on health and
13 safety training and PPE on toilet facilities, which
14 were handed out.

15 At this time, I'd like to thank Scott
16 Schneider for giving it to the work group to work on.

17 These can be linked to the new site that is
18 being developed.

19 A handout was given out on women trade
20 organizations that can be addressed for many purposes.
21 We hope to have these reviewed and discussed at the
22 next meeting.

1 Sanitation was brought up again and the
2 rationale for separate bathrooms. The SIP IV was
3 mentioned.

4 Steve Hawkins reiterated that the issue has
5 been on the board for many years. Mr. Ben Bare
6 mentioned that what the committee needed at this point
7 in time is health and safety in sanitation. Bill
8 Hering stated that separate bathrooms worked well in
9 his company.

10 We handed out a chart, and Danessa Quintero
11 spoke about female construction fatality data and the
12 highway worker incidents. The flaggers were mostly
13 women and had a higher death rate.

14 Mr. Pete Stafford stated that the sanitation
15 issue has been on the books for many years. Mr. Ben
16 Bare commented that sanitation does not rise high
17 enough to make it to ruling.

18 Mr. Stafford stated that the work group could
19 make a guidance document for sanitation to be sent to
20 OSHA instead of a rulemaking document.

21 Mr. Stafford moved that the Diversity and
22 Women in Construction Work Group request that ACCSH

1 recommend OSHA proceed with developing guidelines on
2 sanitation in construction that incorporates the
3 language of CAL OSHA's construction sanitation standard
4 on separate toilet facilities for men and women.

5 The motion was seconded and passed
6 unanimously. The work group was adjourned at 3:10 p.m.

7 CHAIRMAN STAFFORD: Thank you, Liz. Any
8 questions for the work group?

9 (No response.)

10 M O T I O N

11 CHAIRMAN STAFFORD: Okay. We will need a
12 motion to accept the work group's report.

13 COMMITTEE MEMBER: So moved.

14 COMMITTEE MEMBER: Second.

15 CHAIRMAN STAFFORD: Any discussion?

16 (No response.)

17 CHAIRMAN STAFFORD: Okay. All those in favor,
18 signify by saying aye.

19 (Chorus of ayes.)

20 CHAIRMAN STAFFORD: Opposed?

21 (No response.)

22 CHAIRMAN STAFFORD: Are there any specific

1 recommendations that came out of the work group other
2 than the recommendation for proceeding with guidelines,
3 OSHA development of guidelines, Liz?

4 MR. HAWKINS: We did discuss making an
5 official motion to ACCSH that the Agency develop
6 guidance documents, as you stated. I think we have to
7 make it in this meeting and have it voted on and
8 seconded to forward it out of this committee. Isn't
9 that correct, Sarah?

10 MS. SHORTALL: Yes.

11 MR. HAWKINS: Is that motion ready?

12 CHAIRMAN STAFFORD: Unless there's any
13 discussion, that's what we agreed to yesterday in the
14 work group. We will need a motion to that effect.

15 M O T I O N

16 MS. ARIOTO: As a work group we have a motion
17 to OSHA that we proceed with guidelines on sanitation
18 in construction that incorporates the language of CAL
19 OSHA's construction sanitation standard on separate
20 toilet facilities for men and women.

21 CHAIRMAN STAFFORD: Perfect. We have a
22 motion. Is there a second?

1 COMMITTEE MEMBER: Second.

2 CHAIRMAN STAFFORD: Motion and a second. Any
3 discussion?

4 (No response.)

5 CHAIRMAN STAFFORD: All those in favor,
6 signify by saying aye.

7 (Chorus of ayes.)

8 CHAIRMAN STAFFORD: Opposed?

9 (No response.)

10 CHAIRMAN STAFFORD: Great.

11 MS. SHORTALL: Mr. Chair, at this time, I'd
12 like to enter into the record as Exhibit No. 3, the
13 approved Diversity and Women in Construction
14 Multilingual Issues Work Group report from the December
15 14 meeting.

16 As Exhibit 3A, six examples of construction
17 pictorial training aids. As 3B, the CAL OSHA Water,
18 Rest, Shade DVD. 3C, CAL OSHA Water, Rest, Shade
19 Discussion Guide for the DVD. As D, CAL OSHA Water,
20 Rest, Shade Key Safety Fact Sheet in English. As E,
21 the CAL OSHA Water, Rest, Shade Key Safety Fact Sheet
22 in Spanish. As F, the CAL OSHA Water, Rest, Shade Key

1 Safety Fact Sheet in Punjabi.

2 As G, the CAL OSHA Water, Rest, Shade Key
3 Safety Training Kit. As H, the CAL OSHA Heat Kills
4 Quick Guide in English and Spanish. As I, CAL OSHA
5 Water, Rest, Shade poster. As J, the CAL OSHA Lifting
6 Safer pictorial poster in English and Spanish.

7 As K, the Laborers Health and Safety Fund of
8 North America Health Alert, especially for women
9 personal protective equipment. As L, the Laborers'
10 Hazard Alert, especially for women health and safety
11 training.

12 As M, the Laborers' Health Alert especially
13 for women and on sanitary toilet facilities. As N, the
14 updated list of construction trade organizations for
15 women. As O, a list of women in construction
16 organizations, and P, the OSHA IMIS data on fatalities
17 by gender in construction and in highways, street and
18 bridge construction operations.

19 (Exhibits No. 3 were
20 marked for
21 identification.)

22 CHAIRMAN STAFFORD: Thank you. Just let me

1 say a comment on this. For over a decade, this work
2 group has been recommending to OSHA there be separate
3 restroom facilities for women on construction projects.

4 We recognize now that OSHA just does not see
5 this as a priority. It hasn't floated to the top. I
6 think this work group and this full committee is
7 adamant this is an issue that we continue to address,
8 so that the next best step is that we can continue to
9 have OSHA and ask OSHA to develop these guidelines to
10 keep this on the burner because we all feel it is very
11 important, even though right now it may not be a
12 priority, it's an important issue just the same.

13 I appreciate the work and the recommendations
14 of the work group.

15 I guess now, Jim, this is the time where we
16 have to become a bit flexible on our agenda. We had
17 Assistant Secretary Michaels scheduled next. He
18 obviously is not here yet.

19 I don't know if Jim Maddux is still here, if
20 we should go ahead and proceed with the Directorate of
21 Construction report.

22 COMMITTEE MEMBER: Jim is not here right now.

1 I can go find him.

2 CHAIRMAN STAFFORD: First on the agenda is
3 Assistant Secretary Michaels. The next report is
4 report from the Directorate of Construction.

5 The third item is a break. I'm not sure we're
6 quite ready for that.

7 At this point, Matt, please go ahead.

8 MR. GILLEN: I'm happy to do the Emerging
9 Issues and Construction Health Hazards report, if you
10 want.

11 CHAIRMAN STAFFORD: Okay. Walter Jones, would
12 you mind introducing yourself?

13 MR. JONES: Walter Jones, Laborers' Health and
14 Safety Fund of North America. Sorry, I had a slight
15 accident on the way in. Of course, when you have an
16 accident, the subways and everything else slows down on
17 you. I split my pants!

18 CHAIRMAN STAFFORD: That's serious!

19 (Laughter.)

20 CHAIRMAN STAFFORD: Okay, Matt. I appreciate
21 your flexibility. We will go ahead with your work
22 group report.

1 EMERGING ISSUES AND CONSTRUCTION HEALTH

2 HAZARDS WORK GROUP REPORT

3 MR. GILLEN: Walter is a tough act to follow.

4 (Laughter.)

5 MR. GILLEN: This is the report of the
6 Emerging Issues and Construction Health Hazards Work
7 Group.

8 We met on December 13 from 3:00 to 5:00 p.m.

9 There were 22 attendees.

10 CHAIRMAN STAFFORD: Who is your co-chair,
11 Matt?

12 MR. GILLEN: Gary Batykefer and myself are the
13 co-chairs for that work group.

14 We had three agenda items. The first one was
15 plans for a silica safe website. There should be
16 copies going around.

17 Eileen Betit, with the CPWR Center for
18 Construction Research and Training, described plans
19 underway for a new website to be called "Silica Safe."

20 The purpose is to provide an one stop user
21 friendly website with information on silica exposures
22 and controls in construction. The primary audience

1 would be contractors and construction workers.

2 The site would post existing silica materials
3 and would create some content to address contractor and
4 worker needs.

5 Eileen walked through examples of sub-pages
6 for contractors and workers along with a bulletin board
7 and a partner section.

8 ACCSH members indicated they thought this
9 would be an useful resource for contractors and
10 workers. Several suggestions were provided.

11 These included the idea of including some
12 information on highest exposure tasks, provide guidance
13 on respiratory protection, utilize before and after
14 video's of controls, provide information in multiple
15 languages, include worker interview video's, include
16 information for workers to provide their physicians.

17 A suggestion to further consider common search
18 terms in finalizing the "Silica Safe" name was also
19 provided.

20 Follow up plans include additional development
21 and engagement of focus groups to further target needs
22 and materials.

1 Next, we heard about fatalities among
2 remodeling workers performing bathtub refinishing.
3 Jerry Houvener of the OSHA Directorate of Construction
4 and Dave Valiente of OSHA's Directorate of Standards
5 and Guidance described recent cases of fatalities
6 associated with over exposure to methylene chloride
7 during bathtub refinishing operations.

8 Prior to 2000, only 1 of 42 known methylene
9 chloride fatalities were reported to be related to
10 bathtub refinishing. However, since 2000, 12 of 16
11 fatalities have involved this operation, suggesting a
12 possible increase in interest in this process, and
13 there may be some other things going on there.

14 Methylene chloride properties were described
15 along with highlights from the OSHA standard, which is
16 1926.1052. For example, they commonly use half face
17 and air purifying respirators and they are not allowed
18 with methylene chloride.

19 Copies of the standard were provided to
20 attendees.

21 The Michigan FACE program, again, FACE is
22 fatality assessment and control evaluation, originally

1 identified this problem, and they recently developed
2 and released an one page FACE Alert on this hazard,
3 which OSHA described in a recent Quick Takes edition.
4 A copy of the Michigan Alert was provided.

5 The presenters announced that OSHA and NIOSH
6 are planning to jointly develop and distribute a hazard
7 alert on bathtub refinishing hazards.

8 Discussion turned to how best to get crucial
9 safety and health information to small and sometimes
10 isolated employers. Several ideas were mentioned and
11 discussed.

12 One suggestion was to work with suppliers such
13 as Home Depot and Lowe's and others who sell these
14 products. In other words, to reach out to trade
15 associations such as The Professional Bathtub
16 Refinishers Association.

17 Improved labeling and restriction of
18 availability by analogy to products kept behind the
19 counter was also mentioned. The value of linking OSHA
20 area and region offices to state public health agencies
21 via recent efforts to help communicate emerging issues
22 such as this was described.

1 Last but not least, the role of enforcement
2 was mentioned, but with recognition of the
3 long-standing challenges to targeting health
4 inspections in construction, and this was mentioned and
5 discussed as well.

6 A last issue is we discussed decompression
7 illness during tunneling operations. Dean McKenzie,
8 the OSHA Director of Construction, provided an
9 introduction to tunneling operations and health effects
10 related to decompression.

11 Handouts developed by Dr. Nicholas Reul were
12 provided to attendees.

13 Dean described how pressure is used to keep
14 water out of underground construction sites and some of
15 the history of tunneling, including development of OSHA
16 Standard 1926.803.

17 Dean provided a general overview of how health
18 effects related to the relationship between pressure
19 and dissolved gases in our blood and how decompression
20 causes gases to come out of solutions from bubbles,
21 which in turn can cause a variety of potentially
22 serious illnesses unless controlled.

1 Aseptic necrosis, which is a disease that
2 affects the bones and the joints is an example of one
3 of several decompression illnesses.

4 Several developments and issues were
5 mentioned. The OSHA decompression tables only go up to
6 50 pounds per square inch and are for atmospheric
7 decompression only, so they do not account for higher
8 pressures or for use of oxygen or other gases.

9 At least one study found that the OSHA tables
10 were not protective. Seven of 21 workers, 33 percent,
11 in a 1982 study by Kinwohl, were found to have aseptic
12 necrosis after work on a job governed by the OSHA
13 tables.

14 Tunneling technology has changed and newer
15 tunnel boring machines require smaller crews and fewer
16 pressurizations. A recent Lake Meade job involved high
17 pressures, up to 150 psi, thus requiring variances and
18 approval of several decompression tables.

19 The work group identified a few options for
20 addressing decompression table deficiencies. The issue
21 might lend itself to the standard improvement program
22 process, SIP, a standardized variance that could be

1 shared by OSHA and states could be developed, and an
2 advisory committee with appropriate experts could be
3 formed to advise OSHA and the industry on next steps.

4 Additional perspectives and ideas were
5 expected to be triggered from the tunneling
6 presentation scheduled for the full ACCSH committee on
7 Thursday, today.

8 The meeting was adjourned. That's the end of
9 the report.

10 CHAIRMAN STAFFORD: Thank you, Matt. Any
11 questions?

12 (No response.)

13 M O T I O N

14 CHAIRMAN STAFFORD: We require a motion to
15 accept the work group's report.

16 COMMITTEE MEMBER: So moved.

17 COMMITTEE MEMBER: Second.

18 CHAIRMAN STAFFORD: Any discussion?

19 (No response.)

20 CHAIRMAN STAFFORD: We have a motion and
21 second. All those in favor, signify by saying aye.

22 (Chorus of ayes.)

1 CHAIRMAN STAFFORD: Opposed?

2 (No response.)

3 CHAIRMAN STAFFORD: Steve?

4 MR. HAWKINS: I just want to get a copy of the
5 work group report.

6 CHAIRMAN STAFFORD: Okay. Thank you again,
7 Matt.

8 As we go through these work groups and talk,
9 and we have talked separately to OSHA, we're now
10 talking about the development of a lot of different
11 websites, which I think is a great thing.

12 We talked about a diversity website, now a
13 website on backing operations, how we can move
14 prevention through design information.

15 Ben, this may be a question for you or other
16 DOC folks. Is there going to be any kind of issue in
17 terms of resources that the committee has to consider
18 in terms of the number of new websites that OSHA can
19 establish or issues that we have to think about in
20 terms of linking to different websites, any hurdles
21 within OSHA as far as doing that?

22 It would be very helpful if we knew there was

1 only a limited amount of things that OSHA could do. I
2 don't know, Ben, if you can answer that.

3 MR. BARE: I think Jim would be better to talk
4 about that.

5 MR. MADDUX: Yes. You raise, of course, an
6 important point. Jim Maddux. Director of
7 Construction, OSHA.

8 You raise a good point. There is, of course,
9 limited resources for any of this work. Anybody that
10 reads the newspaper knows that the budgets are very
11 uncertain. It's hard to tell what kind of resources we
12 will have.

13 In many ways, actually doing web based
14 products, like web pages or fact sheets, is actually
15 less expensive than doing printed material.

16 For example, this last year we were very lucky
17 to get actually quite a large number of printed
18 publications that we were able to complete and get into
19 circulation.

20 Yes, obviously there is a limited amount of
21 resources for doing web pages, and at some point, we
22 might have to prioritize, but in the long run, actually

1 wind up being much more cost effective in many ways
2 because we can reach a large audience without the print
3 budget.

4 CHAIRMAN STAFFORD: Do we need to have those
5 considerations now, Jim, or do we assume the
6 recommendations made on X number of websites will
7 proceed?

8 MR. MADDUX: It might be interesting maybe at
9 the end of the day tomorrow, maybe during one of the
10 closing sessions, to list those out and see if we have
11 some priority scheme. That would be very helpful.

12 CHAIRMAN STAFFORD: Okay. I appreciate that.
13 Tish, please.

14 MS. DAVIS: Tish Davis. Yesterday we heard an
15 interesting finding about how the public was accessing
16 the new video's, and more, I think, were accessed
17 directly through YouTube than through OSHA, and it
18 seemed to vary by Hispanic ethnicity.

19 It raised an interesting question about who
20 comes to the OSHA websites and whether there are
21 barriers to using those websites, so other ways of
22 getting information out need to be explored.

1 I thought that was a very interesting finding.

2 MR. MADDUX: Yes. You raise a good point. We
3 certainly have a very large amount of traffic on our
4 OSHA website. Millions and millions of hits.

5 I think everybody is familiar about our nail
6 gun document that we co-produced with NIOSH earlier
7 this year. That document has been downloaded or
8 accessed on the OSHA website, 250,000 hits in about
9 eight weeks.

10 Yes, there are probably some limitations. I
11 think we probably have some difficulty still with
12 video. The amount of -- it's hard to tell the exact
13 impact, but certainly the number of touches is
14 phenomenal.

15 MS. DAVIS: Thank you.

16 CHAIRMAN STAFFORD: Thank you, Jim.

17 On a second issue, Jim, in terms of OSHA's
18 ability to link to different websites, are there
19 obstacles or concerns that we need to think about as we
20 move forward?

21 For example, on the Diversity Work Group,
22 development of a website and linking to the Laborers'

1 Health and Safety Fund, who has a lot of information on
2 women issues in construction.

3 Are those obstacles that need to be
4 considered?

5 MR. MADDUX: I don't know if I'd call them
6 "obstacles." We do have a policy for linking to
7 external websites. There is great care taken to make
8 sure we are not linking to websites with political
9 content.

10 I think there are seven or eight criteria. If
11 the committee is interested, we'd be more than happy to
12 distribute that policy so that people understand kind
13 of the limitations of what we can do.

14 CHAIRMAN STAFFORD: I think that would be
15 helpful for us certainly. Thank you.

16 I guess while you're in the hot seat, we can
17 go ahead and proceed with your report. We have to be
18 flexible, Jim. I don't know what time Dr. Michaels
19 will be here. If he comes in the middle, we will move
20 things around a bit.

21 MR. MADDUX: David is loading me up now, I
22 think.

1 MS. SHORTALL: While Mr. Maddux is getting
2 ready for his presentation, I'd like to enter into the
3 record as Exhibit No. 4 the approved Emerging Issues
4 and Health Hazards in Construction Work Group report
5 from the December 13 meeting.

6 As Exhibit 4A, the Silica Safe website
7 PowerPoint presentation by Eileen Betit. As 4B, the
8 methylene chloride paint stripping agents and bathtub
9 refinishing jobs' PowerPoint, presentation by Jerry
10 Houvener and David Valiente.

11 As C, the Michigan fatality assessment and
12 control evaluation bathtub refinishing hazard alert.

13 As D, the MIFACE investigation and research on
14 methylene chloride cause of death of three Michigan
15 bathtub refinishers.

16 As Exhibit 4E, the MIFACE investigation report
17 number 10MI013, subject "Tub Refinisher Died Due to
18 Methylene Chloride Over Exposure While Stripping a
19 Bathtub."

20 As Exhibit 4F, OSHA methylene chloride
21 publication number 3144-06R. As G, methylene Chloride
22 topics page on OSHA's website.

1 As H, a copy of the under pressure hyperbaric
2 construction safety regulation, 21st Century PowerPoint
3 by Nicholas Reul, University of Washington School of
4 Public Health.

5 As Exhibit I, a copy of the script for the
6 under pressure hyperbaric construction safety
7 regulation for the 21st Century PowerPoint by Nicholas
8 Reul.

9 (Exhibits No. 4 were
10 marked for
11 identification.)

12 CHAIRMAN STAFFORD: Thanks, Sarah.
13 Jim?

14 DOC REGULATORY UPDATE

15 MR. MADDUX: I'd just like to open up by
16 obviously thanking the committee. You guys are doing a
17 great service and we appreciate the work that you are
18 doing.

19 The committee not only is very, very helpful
20 in terms of the advice that it gives, but also another
21 way that we can communicate with the construction
22 industry and construction workers.

1 We appreciate all of your efforts.

2 I'd also like to make a really huge thanks to
3 the OSHA staff that work for me that help to support
4 this committee. Ben, Fran Dougherty, who helps to
5 coordinate a lot of our meetings. We have liaisons for
6 each one of the work groups that put in a lot of effort
7 to try to make sure that everything goes smoothly and
8 everybody has the resources that they need.

9 Of course, Sarah Shortall, who keeps us all on
10 the straight and narrow in terms of the Federal
11 Advisory Committee Act and how things are supposed to
12 go. We would be lost without her.

13 What I'd like to do today is give you a little
14 bit of an update on our standards activity, a little
15 bit of enforcement, some of our guidance efforts, and
16 some outreach things that we have going on.

17 As most of you know, I kind of have a stat
18 background, so I almost can't avoid doing a little bit
19 of numbers' talk, no matter where I go. I'll avoid the
20 lecture on the 300 today.

21 Construction fatalities have declined. When
22 you look at the BLS fatality statistics, it went down a

1 lot in 2008, again, a very large amount in 2009, and
2 then a little smaller decrease in 2010. This is
3 largely attributed to the decline in the construction
4 industry in these difficult economic times.

5 I'm sure that's right. I think it's also
6 worth noting the rate. From 2008 to 2009, the rate
7 stayed almost the same, 9.6 to 9.7. In 2010, we had a
8 little bit of a decline in the rate.

9 That is really a positive sign when we
10 actually have the rate of fatalities declining, and
11 that's what I'm hoping we can build on as the economy
12 improves and as construction starts to come back, that
13 we will find some safer work practices during this slow
14 down that we can promote and get into effect as things
15 improve.

16 There is some interesting work that was done
17 by Glen Shore. Glen works for the State of California
18 in their Workers' Compensation Department. He's been
19 on loan to us for about a year and a half now.

20 He was able to do some very interesting
21 research with the database for the National Council of
22 Compensation Insurance.

1 He focused on a couple of occupations. These
2 data like all data, of course, have some limitations.
3 I believe they include information from about 38
4 states. They are lost time claims, and that lost time
5 means whatever the Workers' Compensation for that state
6 considers lost time. Sometimes it is one day.
7 Sometimes it is there. Sometimes it is seven. You
8 just have to take whatever the data is you can get a
9 hold of.

10 It's very interesting. A lost time claim for
11 a roofer that falls from elevation, \$106,000 per fall.
12 These are huge numbers, even in this database, which is
13 not complete, 1,500 injuries, the total numbers are
14 massive.

15 I think people often times focus on the cost
16 of providing the safety and health or of implementing
17 this activity or that activity, and we need to keep in
18 mind not only the human toll of these accidents, but
19 also they have a huge monetary cost on companies, on
20 the employees, and on the country.

21 The second occupation that Glen focused on was
22 carpenters, which came in at almost the same dollar

1 value, slightly less, at \$98,000.

2 For both of these, interestingly enough, these
3 are cases where people were losing time from work and
4 usually quite a bit of it, the wage replacement is
5 actually much less than the medical care cost.

6 I just pulled two slides out of Glen's work.
7 He has a short slide presentation with 10 or 12 slides.
8 We are going to post this up on our construction
9 internet page so the public can also access this
10 information and have some ability to get into it.

11 Getting into the standards, we have two items
12 in the pre-rule. The first one is an RFI for backing
13 operations and for reinforcing and post tension steel.

14 These are both significant safety issues.
15 Backing operations results in probably over 50
16 fatalities per year. We're not sure how many serious
17 injuries yet, but I'm sure it's a fairly large number.

18 Reinforcing steel has two or three fatalities
19 per year it looks like. We're still looking into the
20 numbers.

21 We're going to be requesting information to
22 see whether we should move forward into serious

1 rulemaking and to get ideas for what needs to be
2 addressed, what are some of the issues that are
3 involved, so we can be well informed if we decide to do
4 so.

5 We also have several final rules that are
6 focused on construction. Of course, the biggest one
7 here is confined spaces. I think people know the
8 confined space proposal was actually issued well before
9 the crane proposal.

10 We set that aside to focus on the crane issues
11 that were very, very important. Now, we are picking up
12 again and trying to get a confined spaces rule into
13 clearance hopefully in the next month.

14 The other three rulemaking's are relatively
15 small. They are all follow up's from the cranes and
16 derricks' standard. One is to make sure that cranes
17 and derricks in underground construction and demolition
18 are covered by the new standard.

19 Because these two industries were not proposed
20 in our original proposal, at the final rule, we took
21 the old crane standard, recodified it as Subpart DD of
22 the 1926 standards, and it only applies to these two

1 industries.

2 It would be much more preferable to have one
3 crane standard that applied to everything.

4 We also have one of our lawsuits, we had a
5 lawsuit from the Edison Electric Institute. We have
6 gone into a settlement agreement with them, and as part
7 of that, we have agreed to do some rulemaking on the
8 exemption for digger derricks that are used to dig
9 holes and set poles in the utility and
10 telecommunications industries.

11 We have a technical correction which is just
12 going through and trying to take care of some
13 typographical errors and so forth that are in the rule.

14 We also have a number of things that are in
15 pre-rule. The heading of this slide is not quite
16 correct. Some of them are in the Directorate of
17 Construction and some are in the Directorate of
18 Standards and Guidance.

19 Modern Records is an effort that Dr. Michaels
20 has been a real proponent of. This has to do with kind
21 of the time lag on our data.

22 We did injury and illness data compiled by the

1 Bureau of Labor Statistics, by the time that it's
2 published, it is almost two years old.

3 The idea here is can we come up with some kind
4 of a greater frequency of reporting so we can keep a
5 better handle on what's going on with injuries and
6 illnesses closer to the time they occur.

7 When you look at the economic stats that the
8 Government publishes, unemployment, Consumer Price
9 Index, GDP, all of those sorts of things, they are
10 monthly or quarterly. They don't come out once a year
11 or nine months later. That would be too late for
12 policy makers to figure out how to adjust.

13 In safety and health, we haven't gotten to
14 that point.

15 Injury and illness prevention programs. That
16 rulemaking, the next step is to initiate the small
17 business panel that's required by the Small Business
18 Regulatory and Enforcement Fairness Act. We're still
19 working on preparing for that and getting ready to go
20 into that process.

21 SIP IV, standards improvement project, is a
22 project that goes through and tries to make some

1 corrections to the standards. Paul Bolon will be
2 giving a presentation on that later today.

3 This is something that is coming out of the
4 Directorate of Construction. The other three SIP
5 projects focused on safety and health issues. There
6 were a few construction issues that got involved.

7 This one is going to focus primarily on the
8 construction standards, and places where we need to
9 make some fixes.

10 It is a good opportunity to deal with a lot of
11 relatively small issues that may not rise to the level
12 where they would actually get their own rulemaking, and
13 of course, the standards are now 40 years old.

14 A lot of them were adopted when OSHA was first
15 formed. Some of them have fallen quite out of date.
16 In some cases, they are redundant or duplicative or
17 simply don't make sense. It's a good chance to look at
18 those issues.

19 The last one here is the protective head wear
20 consensus standard, what we call "hard hats" in the
21 industry. This is a rulemaking that affects both the
22 1910 and 1926 standards. A relatively small rulemaking

1 to simply bring those standards up to where they
2 reference the most recent consensus standards for head
3 protection.

4 This is coming out of our Directorate of
5 Standards and Guidance. We will have a presentation
6 later today from Paul Bolon and joined by Ted
7 Twardowski from our Directorate of Standards and
8 Guidance, who is actually the project author.

9 We are hoping to get some feedback from the
10 committee so we can move forward on that rulemaking.

11 The Directorate of Standards and Guidance has
12 a number of rules that are going through the process.
13 Hazard communication, the globally harmonized system
14 for chemical hazards and such, is at OMB, and is under
15 review. It's moving along nicely.

16 Electric power generation and transmission,
17 what we call Subpart V in OSHA Speak, is still being
18 worked through here in OSHA, and should be going into
19 clearance soon.

20 (Mr. Maddux's presentation to resume upon
21 completion of Dr. Michaels' presentation.)

22 CHAIRMAN STAFFORD: Good morning, Assistant

1 Secretary Michaels. It is good to have you. We have
2 flexed the schedule a little bit, so we appreciate you
3 coming in. We will turn it over to you.

4 PRESENTATION BY ASSISTANT SECRETARY DAVID MICHAELS

5 DR. MICHAELS: Good morning. Thank you all.
6 Thank you for your consideration and your flexibility.

7 As you know, today is a little bit of a
8 difficult day. We have two advisory committees but
9 also we are in planning mode in case there is no budget
10 passed in the next couple of days, that will have an
11 immediate impact on us.

12 We're going through the activities to prepare
13 for the stopping of funds, so you will see a lot of
14 activity around here today unless the situation is
15 resolved later today. We'll see what happens.

16 Jim, thank you for your flexibility. Pete,
17 thank you for taking on the role of chair of this very
18 important committee. Very grateful for your
19 willingness to do this.

20 This is an extremely active, thoughtful and
21 important committee to us. You do a terrific job.
22 Your work groups have been very, very important in

1 helping shape our activities, and you continue to do
2 great work. I appreciate everything you do.

3 What I thought I would do is just come here
4 and give you a little update on what we are doing in a
5 number of areas that really relate to the areas on
6 which you give us advice and get some input from you,
7 take some questions.

8 Your input, we are getting all the time, but I
9 guess I'm interested in if you have any thoughts you
10 think I should hear directly.

11 I have put together a little PowerPoint, just
12 to give you sort of an update.

13 We have just finished the fiscal year at the
14 end of September. In terms of inspections, we are
15 pretty much at the same level. We're doing the same
16 number of inspections we did last year.

17 Some of our inspections are taking longer.
18 Health inspections take longer than safety inspections.
19 We're doing more health inspections, inspections that
20 involve investigations into recordkeeping, which also
21 take a great deal longer. They take many more hours.

22 We are at about the same level that we have

1 been at in the last couple of years.

2 The percentage of programmed inspections,
3 inspections that we plan in advance, we say this is a
4 specific area that we are going to target, has gone
5 down slightly. The un-programmed inspections have gone
6 up slightly, "un-programmed" being complaints,
7 fatalities or referral driven.

8 Specifically, the percentage of complaints has
9 gone up. Obviously, that means the number has gone up
10 as well, too, because the total number is about the
11 same.

12 We're getting a slightly larger higher number
13 of complaints. As you know, we don't respond with
14 sending inspectors to all complaints. We triage them.
15 We actually are going out on more inspections based on
16 complaints. Not a big difference, one percent more.

17 The percentage of construction inspections,
18 construction inspections as a percentage of all
19 inspections, also has gone down slightly, from 60
20 percent to 56 percent.

21 Part of that is a reflection of some of our
22 emphasis programs in some other areas, as well as

1 complaints in other areas.

2 A big change that we made last year, last
3 fiscal year, was to make some changes in the way
4 penalties are calculated. That has obviously had an
5 impact.

6 Let me say for all of these numbers I've shown
7 you and this number, we believe they are correct. We
8 are also in a transition period, moving from one data
9 collection system to another.

10 The new system that we're rolling into place,
11 our OSHA information system, we rolled it out in
12 several of our regions in the second half of fiscal
13 year 2011, and so we have combined the two data systems
14 to get these numbers, and there are still some bugs in
15 the system. We think they are just about right.

16 We will know in a few months. I don't think
17 we will see any major changes, but I want you to know,
18 you may at some point see a number that's slightly
19 different than some of these.

20 In any case, we changed the way we calculate
21 penalties some time last year. We thought the
22 penalties in many cases were too low.

1 Congress sets the maximum penalty, \$7,000 for
2 a serious violation, \$70,000 for willful. We rarely
3 talk about willful and repeat violations. For the
4 serious violation, it's \$7,000.

5 We modify our penalties on many bases. For
6 small employers, we always modify our penalties. For
7 good faith and the history of inspections showing no
8 violations or no history of having violations, we
9 reduce our penalties.

10 We changed the percentages in that and the way
11 we calculate it, and as a result of that, the average
12 penalty has pretty much doubled in size. Still quite
13 low.

14 We give out citations associated with
15 fatalities, for a few thousand dollars. I'll sign a
16 letter to a family member of a person who has died in a
17 terrible incident in a workplace, and the penalty will
18 be \$3,500 or \$4,000.

19 We give out citations and penalties not
20 because a person died but because there were violations
21 of the OSHA law.

22 We know penalties have an impact, and we will

1 talk about that a little later. We have to maximize
2 the impact of our penalties because we're trying to
3 focus not just on the employer who we gave the citation
4 to, but the whole industry.

5 We have raised the penalties. They are still
6 far lower than most regulatory agencies. They
7 certainly have had an impact.

8 What they haven't done is increase our contest
9 rates. This was very interesting to us. As you know,
10 other agencies have had significant increases in
11 contest rates following an increase in penalties.

12 We have seen a very, very tiny if any increase
13 in our contest rate as a result of these changes in
14 penalties.

15 We know there are some employers who this is
16 causing great difficulty with, and we work with them
17 and we try to find payment plans. We give them various
18 reductions.

19 Our regional staff has some ability to reduce
20 penalties further. So far, I think we are doing okay
21 with that.

22 The number of significant cases we have issued

1 increased last year, but it's worth noting that our
2 cutoff point on significant is the \$100,000 level of
3 penalties, multiple citations, multiple penalties, to
4 reach \$100,000 or more.

5 Because we have changed the way we calculate,
6 this probably is not a very useful figure in terms of
7 it doesn't represent more enforcement or more hazards,
8 but in fact a different way we calculate the penalties.

9 You can see that to some extent in the
10 egregious cases. The egregious cases went down from
11 fiscal year 2010 to 2011. Egregious cases are those
12 cases where we see the employers really had wanton or
13 disregard of the health and safety of their employees.

14 That is driven not only by our penalty
15 structure or by our enforcement of policies, but what
16 we find in the field.

17 Last year we found fewer of those cases than
18 we did the year before.

19 We always put this up. I think it's of great
20 interest to observers of OSHA and people who are
21 stakeholders, just to know what the top ten standards
22 are.

1 As you can see, the first four all relate to
2 preventing injuries and fatalities related to falls.
3 This has been consistent from year to year.

4 Any questions before I go on? This is my
5 enforcement wrap up. Obviously, our staff is around
6 and they can answer questions at any point also.

7 (No response.)

8 DR. MICHAELS: Some of the other activities.
9 We continue to work very hard on our injury and illness
10 prevention programs initiative. You all have been
11 very, very helpful in this.

12 We have a web page up. We are getting more
13 information out. We are preparing to move toward a
14 standard, but we know that takes a long time. What we
15 are doing is working with employers and telling them
16 this is something that you can do now, there is no
17 reason to wait for OSHA to issue a standard.

18 The evidence is very clear. We know from
19 numerous states and their successes in mandating some
20 form of injury and illness prevention program.
21 Obviously, this is something that is not a surprise or
22 not a mystery to many employers, that they understand

1 this. They know it works.

2 One group we are particularly dedicated to
3 working with who have embraced the injury and illness
4 prevention program concept is the Department of
5 Defense.

6 They recognize the importance of safety and
7 health management systems to protect the health and
8 safety of their civilian employees and also their
9 Uniformed Services, our soldiers, airmen, sailors, who
10 are better protected because all of the Services have
11 embraced injury and illness prevention programs at many
12 bases.

13 It is not equal across the Services. It's not
14 equal across the bases. Every Service and every base
15 is moving toward it.

16 I visited Tinker Air Force Base recently in
17 Oklahoma, which is a huge operation. It's tens of
18 thousands of workers involved in rebuilding primarily
19 the engines, but even the entire fighter planes and
20 bombers that are used in Iraq and Afghanistan.

21 They have a terrific program and they have
22 driven down their injury rates dramatically.

1 You can see it from these numbers. This is
2 from before the Defense Department decided to implement
3 injury and illness prevention programs and get involved
4 in a voluntary protection program.

5 Everyone in the Services has seen impressive
6 decreases in injury rates.

7 Our position is look, if we can use this, we
8 do this to support our troops, we use this to support
9 our civilians who support our troops, and we should do
10 this for every worker in the country, who deserve this
11 sort of protection.

12 Obviously, an area that we have worked a great
13 deal on and you all have been extremely helpful,
14 residential fall protection.

15 Over the last year, we have gone through the
16 process of changing our enforcement directive, and the
17 exemption of residential construction from our fall
18 protection standard is over. We announced that last
19 year.

20 We have tried to ease in or to phase in our
21 enforcement activities. Initially, we delayed
22 enforcement. We did a tremendous amount of education.

1 We met with many trade associations and
2 different groups, particularly in home building and in
3 roofing, other groups, unions, et cetera, who represent
4 workers, helping people understand what's going on and
5 how to protect workers with the residential fall
6 protection.

7 Our Director of Construction, and I thank Jim
8 and his staff, have done a great job putting our
9 materials, and have done many, many dozens of meetings
10 with different groups around the country explaining
11 what we do. Site visits to residential construction
12 sites in many states.

13 Currently, we don't have our policy fully in
14 effect yet in that up until March, we had a number of
15 different activities to help again make this
16 transition. We are offering a further reduction and we
17 will apply further reductions in penalties for things
18 like good faith.

19 If we find a violation of the fall protection
20 standard in the residential home builder who didn't
21 understand the changes that were necessary or didn't
22 know about this but was acting in good faith, we'll

1 give them another ten percent reduction in penalty.

2 We also will essentially not cite them
3 somewhere else in the next 30 days if we see the same
4 hazard elsewhere because we know they're just getting
5 up to speed to learn.

6 We have also made it clear that this is a
7 priority for our compliance assistant specialists and
8 for the state consultation projects.

9 If any employer in residential construction or
10 residential repair needs more information, we want them
11 to get that information to protect workers.

12 We are seeing a very positive transition. We
13 are hearing from contractors around the country that
14 they have figured out what they needed to do. There
15 always was so many of them -- you told us this -- there
16 are so many contractors who do both residential and
17 commercial construction, so they have known what to do.
18 Other ones just didn't realize how easy it was or what
19 they needed to do.

20 Of course, the instant OSHA says you have to
21 do things in different ways, people are resistant. We
22 understand that. That's human nature.

1 Our impression is, and we hear this all around
2 the country, the contractors are coming around. They
3 see they can do this. It's not stopping their work.
4 It's relatively inexpensive. It's doable.

5 We are very grateful that all of you have
6 helped us and the DOC has done such a great job on
7 this.

8 We continue to work on noise. Again, this is
9 an area that you all have been very helpful on. We had
10 a focus group -- not a focus group -- a stakeholder
11 meeting not long ago, where we talked about noise.

12 We explained the OSHA policy, which wasn't
13 well understood. We want to ensure that employers
14 reduce their exposures to noise by 3 dB. If we can get
15 them there, that's really cutting exposure in half.

16 We are not insisting employers get down to 85
17 or 90, but just keep reducing their exposures, as well
18 as if they have exposures that are above the limit or
19 above the requirements, they have to have hearing
20 conservation programs.

21 I think that was a very successful meeting.
22 There was a great deal of unanimity among all the

1 attendees from trade associations, unions, the
2 professional, academic and technical communities, all
3 recognized this was an area we could all work together
4 on, that we needed to drive down noise exposure that
5 was unacceptably high.

6 One of the things that was discussed, which I
7 think was a surprise to many of the people who attended
8 the stakeholder meeting, was because OSHA has such a
9 weak enforcement policy around our hearing protection
10 standard, U.S. companies are less likely to manufacture
11 quiet machines.

12 In Europe, which has much stronger noise
13 protection standards, manufacturers there manufacture
14 quieter machines.

15 If you want to buy quiet, if you want to
16 ensure the hearing of your employees, you really have
17 to look to Europe. That's unfortunate for the United
18 States' manufacturers. We think that ought to change.
19 We ought to be producing machines here that are quiet
20 because we need those here.

21 That's an area we continue to work on. I
22 think we are making some progress. Again, thank you

1 for your work on that.

2 Finally, the area that every one of you, I
3 think, has played a major role in, and we are so
4 grateful, the huge Heat Illness campaign that we had
5 over the Summer.

6 As you know, OSHA has no heat standards.
7 California has one. Washington has one. OSHA has no
8 standard.

9 In the Summer, every Summer, dozens of workers
10 die of heat related illnesses. Construction workers
11 make up a large portion of that group. Agricultural
12 workers, the other big sector. It happens across the
13 board.

14 If you're working outside in the Summer,
15 especially as our summers appear to be getting hotter,
16 people are at risk of heat and heat related illness and
17 heat fatalities.

18 It's true not only for workers who are not in
19 shape, who may be overweight, who are hypertensive.

20 We have seen over and over again young healthy
21 men, former high school football players. They get a
22 job. They're out there. They're working hard. They

1 say this is no big deal, I can do it, but they don't
2 hydrate, they're not in the shade, and some symptoms
3 come on, they just try to work through them, and
4 unfortunately, they die. We have seen this too many
5 times.

6 Across the country, we worked on getting the
7 message out that this is unnecessary. There is a very
8 simple fix here. We say three words "water, rest and
9 shade."

10 The Secretary of Labor, Hilda Solis, took this
11 on personally and did big public meetings in Florida,
12 California, Nevada and Arizona, talking to workers
13 about heat and what we could do.

14 We have lots of great materials in English and
15 Spanish. We put out a Smart Phone app. We hooked into
16 NOAA, the National Oceanographic and Atmospheric
17 Administration, to give people information about what
18 to do at different levels of heat.

19 NOAA and the National Weather Service also put
20 out warnings. Every time they put out an extreme heat
21 warning, they actually included a message from OSHA,
22 telling people to be careful, to reschedule work if

1 necessary.

2 It was a great campaign. We're very proud of
3 it. We actually were given a major award from the
4 Association of Marketing and Communications
5 Professionals. We got the Platinum Award, the highest
6 award for public awareness campaign.

7 Well, this is no big deal, but the other
8 awardees were the show Jeopardy for the show Watson vs.
9 the Champion. The other awardees were very major
10 campaigns. You have heard of AFLAC.

11 Many, many Government agencies applied and one
12 other U.S. agency, the Air Force, got a Platinum Award.
13 No other ones did.

14 We were very, very proud of this. It turns
15 out to be a very big deal. They saw this campaign and
16 how effective it was. We were pleased with that.

17 Thank you all for your work on that. This
18 really did make a big difference. I think it saved
19 some lives.

20 Our work with you has very much contributed to
21 this important publication. This is the first of the
22 re-branded or dual branded NIOSH/OSHA publications on

1 nail gun safety. Many of you contributed to this and
2 looked at it and gave some advice.

3 I think it's a terrific piece of educational
4 material. We will be doing more with NIOSH. The idea
5 is OSHA and NIOSH should be working closer together,
6 putting out good materials, not just for professionals,
7 but for workers. This is our sort of co-branded
8 publication, the first on nail gun safety.

9 The final area I want to talk about is a new
10 focus of ours, which is measuring our effectiveness.
11 This has been of great interest to us, certainly since
12 I came to OSHA, I have been trying to move us in this
13 direction, which is to say how do we know what we are
14 doing is effective.

15 OSHA has many, many approaches, many
16 strategies. We just talked about we do enforcement, we
17 do compliance assistance, we do these initiatives.

18 You can't say is OSHA effective or not but are
19 our specific activities effective, and essentially how
20 effective are they. We don't want to waste the
21 taxpayers' money by doing things that don't have an
22 impact, and how do we ensure that with our very limited

1 resources, we have the biggest impact or the most
2 effective, we save the most lives, we reduce the most
3 injuries, we get the most information out.

4 There are a lot of ways to measure
5 effectiveness, but we have to begin to think about
6 that.

7 A lot of our work has to be based on the
8 literature that experts have already provided us.
9 There are relatively few but there are some very good
10 studies out there that told us a lot.

11 One I want to just bring up because I think
12 it's really interesting, a paper that came out
13 recently, What Kind of Injuries Do OSHA Inspections
14 Prevent.

15 This is exactly the sort of study we want to
16 encourage, they look at very specific things we do and
17 what's their impact.

18 This just came out. You will recognize
19 several of these names, John Mendeloff and Wayne Gray,
20 researchers who have been looking at OSHA for 20/30
21 years and doing some very important research that we'd
22 like to encourage.

1 This was an interesting study. We can get
2 this around. You don't have to try to read this here.

3 Essentially what it said was when OSHA does
4 inspections, the impact on injury rates, in this case,
5 in manufacturing, was associated with inspections with
6 penalties. If we didn't issue penalties, it didn't
7 have an effect.

8 It turns out that the violations and the
9 penalties that had the biggest effect were for
10 violations of the personal protective equipment rules.

11 What is hypothesized here is the PPE rules are
12 in some ways the surrogate for having a program, having
13 an approach by the employer, to look at all their
14 problems.

15 It turns out that the decrease of injuries
16 related to citations of the PPE rule were not
17 specifically related to PPE. In other words,
18 musculoskeletal conditions went down.

19 Employers who had a citation for a PPE
20 violation the next year had fewer people hurt with
21 musculoskeletal conditions, even though the PPE rule
22 has nothing to do with musculoskeletal conditions.

1 In other words, you have an employer who gets
2 a citation for any number of things, but particularly
3 PPE. They then focus on the hazards in their
4 workplace, and they reduce them.

5 It really shows the impact. This is
6 consistent. This is the second study that showed the
7 same thing using totally different databases. It's
8 quite convincing.

9 It says here on the last page "Some
10 inspections spur managers to undertake safety measures
11 that go beyond compliance with standards."

12 These injuries that were prevented were ones
13 we have no standards for.

14 We'd like to encourage that sort of study to
15 be done, but also we're taking on studies ourselves.
16 We got some money in our budget. We received some
17 money. Essentially, the Department now has a Chief
18 Evaluation Officer and an Evaluation Office that does
19 these things.

20 We are starting on what I think is a very
21 exciting study. The first one we are doing is of our
22 site specific targeting program. We are doing this

1 essentially because this is a program we have which is
2 aimed at employers where there's a high injury rate,
3 but also just because of the nature of it, it can be
4 treated as a randomized clinical trial, something that
5 looks just like a drug trial that's done by say a drug
6 company. We actually use randomization.

7 When we looked at this, we said well, this is
8 a study that we're doing. Before this, we had never
9 really looked at it as a study.

10 I want to show you what we are doing. It's
11 very neat. We do a survey every year.

12 We do a survey, what's called our ODI. This
13 is a little hard to see, at least from here it's hard
14 to see. We send out letters every year to 80,000
15 employers saying tell us what your injury and illness
16 rate is. We don't get much more information than that
17 on the injury and illness rate. How many injuries, how
18 many hours worked, et cetera.

19 Of those, we get 48,000 in Federal
20 jurisdiction. We put them all into a computer and we
21 essentially choose a cutoff, the highest 14,000 or so,
22 whatever that is, people with the highest injury. This

1 is only sent to companies in SIC codes, where there is
2 a very high injury rate to begin with.

3 Of those 14,000, they get a letter saying you
4 have a high injury rate. We may come inspect you.

5 We have always known that just getting that
6 letter has an effect because we know that the state
7 consultation projects have an increase in calls right
8 after that letter is received, which is exactly what we
9 want.

10 We don't want to have to go out there and
11 inspect. We certainly don't want someone to get hurt.
12 We want an employer to see these rates are high, what
13 can I do about it.

14 Of these 48,000 in the Federal jurisdiction,
15 34,000 get no letter, but 14,000, which are the highest
16 14,000, get a letter saying you could be inspected.

17 What we have always done is we have sort of
18 randomly assigned -- we have essentially randomized
19 that group and we told the area offices start
20 inspecting some of the ones we have randomly chosen but
21 not the ones we haven't chosen. It's a randomized
22 trial, just like a drug.

1 You are put into three different groups. This
2 is the way it has always worked. There are some that
3 get inspections. There are some that don't get
4 inspections but if we run out of the first group to
5 inspect, we will inspect the second one. There are
6 some that will never get an inspection. That's the way
7 we have done this.

8 What we are doing is turning that into a
9 clinical trial. In year one -- we are very excited
10 about this -- the control group is a group that is
11 going to get a letter and will get an inspection -- I'm
12 sorry, will not get a letter, will not get an
13 inspection. We will inspect them a year from now.

14 The first year, there is a whole group that
15 have high injury rates that will get nothing. A second
16 group will get a letter and no inspection but next
17 year, we will inspect them. A third group will get a
18 letter, an inspection this year, and then get inspected
19 next year.

20 We are going to see from this what the impact
21 is of just getting a letter, of getting a letter and
22 inspection, versus not getting anything.

1 We will do it not just based on their injury
2 rates, but we will actually be able to go in there and
3 see what's going on as well.

4 We are very excited about this. We plan to do
5 more studies of this nature. If you have thoughts
6 about how we can do this sort of thing in the building
7 trades in construction, it would be very useful to us.

8 It is a much harder thing to look at, to
9 figure out. Obviously, jobs change. It's much easier
10 in manufacturing and sort of stationary facilities to
11 do this sort of work.

12 If we find letters aren't effective, we want
13 to stop doing them, if we think they are a waste to
14 make inspections more effective.

15 That's where we are, the things I thought most
16 important to mention today. I'm eager to talk about
17 anything on your minds.

18 CHAIRMAN STAFFORD: Thanks, Dr. Michaels. We
19 appreciate your efforts and your leadership, you and
20 your staff, the staff at DOC, for what you are doing
21 for the construction industry.

22 I have a list of questions, but maybe we can

1 start with any of the committee members that have any
2 questions or any follow up for Dr. Michaels.

3 MS. DAVIS: What's the outcome in that study?
4 Is it the actual injury rate or on the inspection,
5 you're looking at compliance?

6 DR. MICHAELS: On the injury rate is one, but
7 also for the ones we will visit, for the letter, we
8 will be able to do the inspections at two points. The
9 outcome will be the injury rates.

10 CHAIRMAN STAFFORD: David, on the I2P
11 standard, it was very interesting about the data you
12 have from the military, that they can make the
13 correlation from a program standard to injury rates.
14 Is that data available?

15 DR. MICHAELS: Yes. They actually published
16 that data. We can get that to you as well.

17 CHAIRMAN STAFFORD: It was one of the
18 questions in our work group yesterday, what was the
19 evaluation of California as an example, and can we make
20 that kind of correlation. This would be very useful.

21 DR. MICHAELS: Actually, John Mendeloff and
22 others at Rand have done a study of the California

1 injury and illness prevention program experience, which
2 they are finishing now and will publish soon.

3 I think some of it may be available, which we
4 can also provide to you.

5 The Department of Defense has actually
6 published -- we took this from a publication, and we
7 can provide that as well.

8 The other thing is there is some very good
9 data about -- let's leave it at that. It isn't
10 directly applicable to I2P.

11 CHAIRMAN STAFFORD: I think what we heard
12 yesterday is we're not sure whether John's report in
13 the end will actually talk about injury rates versus
14 citations. It will be very interesting to see what
15 comes out.

16 DR. MICHAELS: Yes, I'm not sure.

17 CHAIRMAN STAFFORD: That will be very helpful,
18 and hopefully with the Program Standard Work Group, we
19 can have John come in and present.

20 One thing that you didn't mention and it has
21 been something we have worked on through this committee
22 for years now is any status or any hopes of whatever is

1 going to happen with the silica standard and getting
2 out of OMB. Any changes in what's happening?

3 DR. MICHAELS: There's nothing I can report
4 that's new. It remains over at OMB. It's being
5 considered. We are eager to go through the process and
6 have it issued.

7 CHAIRMAN STAFFORD: Sounds good. My question
8 on enforcement, which I didn't ask at the time. Is
9 OSHA still involved in construction inspections, the
10 focus for inspections, or is that something that is
11 kind of --

12 DR. MICHAELS: I'm turning this over to Jim.

13 MR. MADDUX: Yes, we do still have a focus for
14 inspection policy, and we still do several thousand
15 inspections per year under that policy.

16 CHAIRMAN STAFFORD: One other question. It's
17 my understanding, and I think there are probably folks
18 in this room that know more about it than I do, with
19 the severe violators' policy. It looks like maybe 50
20 to 60 percent of the companies on that list are out of
21 the construction industry.

22 I understand that OSHA is having some problems

1 going back and finding those contractors and
2 conversely, we have had some discussions around our
3 table and in other settings about the industry, if
4 you're on that list, what do you do, what's the
5 criteria for getting off it.

6 I don't know because this is going across
7 construction if that's an area this committee should
8 weigh in on or talk about or consider, so I would
9 appreciate your comment on that.

10 MR. MADDUX: It is certainly an issue we have
11 been taking a look at and trying to develop criteria,
12 both for construction employers and non-construction
13 employers, what the criteria are to get off this severe
14 violators' list.

15 Really, the question is sort of basic. What
16 does it take for us to feel comfortable that a company
17 has actually kind of made a change in their behavior
18 and implemented programs so that we are not going to
19 see the same problems resurface later.

20 We have an options paper where we are
21 considering several different options on that.

22 CHAIRMAN STAFFORD: Thanks, Jim.

1 On I2P, I want to go back to the program
2 standard quickly, David. One of the committee members
3 asked in the work group meeting yesterday, you know, we
4 understand we are waiting for the SBBEFA process, and
5 we know this is a big priority of yours.

6 Would this in your view be the number one
7 priority for the Agency?

8 DR. MICHAELS: There is no question, it is.
9 As everyone knows, the process of even getting to a
10 standard -- getting into the standard setting process
11 is very slow.

12 It remains our number one priority and I'm
13 committed to working as hard as I can to move it
14 through. Hopefully, we will have some good news soon.

15 CHAIRMAN STAFFORD: Any other questions from
16 the committee?

17 (No response.)

18 CHAIRMAN STAFFORD: Dr. Michaels, again, we
19 greatly appreciate your leadership and for being here
20 today.

21 DR. MICHAELS: Let me thank you again. This
22 committee is so productive and so useful, and we really

1 welcome your input and advice. It's been valuable so
2 far and we expect it to continue to be. It's been very
3 helpful.

4 CHAIRMAN STAFFORD: One last thing, David, and
5 we had a meeting yesterday amongst the work group
6 chairs, with the travel issues and getting releases,
7 it's been kind of problematic for this committee to
8 plan our work groups and move things around with such
9 late notices.

10 We decided yesterday informally that we would
11 set up a schedule for our meetings, recognizing in the
12 end, those meetings may not come to fruition because of
13 the travel issues.

14 I just wondered if you thought that was a good
15 approach, and if you could support us so we can plan
16 our meetings out, so we can be more productive in our
17 work groups and as a full ACCSH.

18 DR. MICHAELS: Yes, I think it would be very
19 productive. Given all the considerations around budget
20 and travel these days, it has become much more
21 difficult to schedule meetings. Of course, we have to
22 justify every meeting very clearly.

1 There is no question this is a very important
2 group and makes a valuable contribution. That doesn't
3 mean we don't have to go through many steps to justify
4 every meeting.

5 If we could do as much in advance and have
6 everything planned out and get all our approvals, that
7 combined with a fixed annual budget, which we hope to
8 see soon, but we're working on a continuing resolution,
9 and it's very difficult to do that as well. There are
10 certain rules we have to follow in terms of travel that
11 don't apply if we have a regular budget.

12 Assuming we have an annual budget soon, if we
13 can plan out the meetings, I think it would be good for
14 everybody.

15 CHAIRMAN STAFFORD: All right. Thank you very
16 much again, Dr. Michaels. We appreciate you being
17 here.

18 DR. MICHAELS: Thank you.

19 (Applause.)

20 CHAIRMAN STAFFORD: Jim, are you ready to
21 reload?

22 MR. MADDUX: Yes, unless you're ready for a

1 break.

2 CHAIRMAN STAFFORD: I think we can go ahead
3 and finish.

4 (Mr. Maddux's presentation resumes.)

5 MR. MADDUX: As you can see, Dr. Michaels is
6 really, really into the research and performance
7 measurement aspects of the Agency. I think it is sort
8 of an unique quality that David brings to the Agency,
9 that at least I've never seen in an assistant secretary
10 in my time here.

11 It may be a little bit repetitive here.

12 Getting back to our rulemaking's, standards and
13 guidance, we have the hazards communication rule that
14 is at OMB. Electric power generation and transmission,
15 which we have been working on for many years, that I
16 think will go into clearance next year.

17 The MSD rulemaking is back with the Agency.

18 It has kind of gone back and forth to OMB, and we are
19 still trying to find a path forward for that.

20 We have a number of construction directives
21 that are under development. Of course, the most
22 important of these is the cranes and derricks

1 directive. I think we have made some excellent
2 progress on this just in the last month. I'm very
3 hopeful we will get that into our Directorate and
4 regional office review very, very shortly.

5 Also, thinking about kind of in the initial
6 stages of looking at our trenching directive. We have
7 had some sort of review commission and court decisions
8 where we may need to give some additional advice to our
9 inspectors there.

10 Highway work zones is sort of an interesting
11 one. Obviously, a very unique sort of job site in
12 terms of the traffic hazards and accessing these sites.
13 There is some additional guidance going out to our
14 field offices, and in particular, how to access these
15 sites safely.

16 David talked at length about the residential
17 fall protection. I'm not going to repeat what he went
18 through.

19 We have gone through a tremendous amount of
20 outreach this year and production of guidance products
21 for this issue. It's been one of the biggest
22 initiatives for the Directorate of Construction this

1 year.

2 When we first put out the policy about a year
3 ago, some Q&As, a fact sheet. Later on, we came up
4 with a residential fall protection guidance document.
5 That is now available in a Spanish version. It's been
6 reported out this morning.

7 We also did a PowerPoint presentation. Then
8 we sort of came out with a second version of the same
9 PowerPoint presentation, which is narrated.

10 You pull it up and it runs just like a video
11 on YouTube or something, so the slides go through and
12 Damon, who is helping with our computer work, has his
13 voice in the background talking to the employer or the
14 worker about what our residential fall protection
15 policy is and the various ways people can comply with
16 it.

17 Once again, a product that may be helpful for
18 low literacy audiences.

19 We are working through right now and we are in
20 the final phases of issuing the PowerPoint presentation
21 in a Spanish version. We have plans to also do the
22 narrated PowerPoint.

1 We have Danessa on our staff and we have a
2 gentleman in the field who is going to come in and help
3 us. We are going to try to do a narrated version of
4 that hopefully in the next couple of months.

5 We have also published three fact sheets on
6 specific issues. One for tile roofs, roof trusses, and
7 roof repair.

8 As you have heard a couple of times today, we
9 have the animated construction safety video's, all
10 12 -- I think there are seven of them that are probably
11 actually applicable to residential fall protection, but
12 those are another tool that helps.

13 We also have a number of additional products
14 that are in the works. We have three fact sheets that
15 can publish in the next two or three weeks, and we are
16 continuing to produce product here, kind of during the
17 off season for residential construction.

18 We are trying to keep that effort going so
19 that as residential construction picks up in the
20 Spring, we will have even more products that are
21 available to the public.

22 We have a lot of other guidance work we are

1 doing, too. We are continuing to do guidance on cranes
2 and derricks. We have another set of FAQs in addition
3 to the first ones that were issued with the standard
4 that we're working through.

5 We have published a handful of fact sheets,
6 and we have more on the way. We published a small
7 employer compliance guide, which is a very helpful
8 tool, I think, for people that are doing crane work.

9 We are working through some letters of
10 interpretation. I think we have issued three letters
11 of interpretation so far on various issues, status of
12 documentation and using cards for signal persons, from
13 unions, the hoist on mast climbers.

14 We have another nine or ten letters that are
15 in the works. They continue to come in. It's amazing
16 the level of detail it gets to.

17 We have a letter that came in from some of the
18 crane manufacturers, how do we define the date of
19 manufacture of a crane, for example, sort of these
20 narrow type issues that we are working through.

21 Some of the other products, I mentioned
22 earlier how we had a little bit of an opportunity last

1 fiscal year to get some publications actually published
2 in hard copy.

3 We were able to update our construction
4 industry digest, which is sort of a pocket guide of
5 kind of the most significant construction standards.
6 The nail gun document that David talked about.

7 Christine will be here later from NIOSH. She
8 may talk about this. One of the things we learned with
9 the nail gun guidance product, probably two lessons,
10 one was kind of how to co-produce documents with NIOSH,
11 which you know, dealing with the bureaucracy of one
12 agency is a challenge, two of them is more than twice
13 that challenge. Tish laughs. I hear the voice of
14 experience there.

15 I think we learned a lot about how to do that.
16 I think we learned a lot about rolling out products and
17 introducing them to the public.

18 We had developed a real roll out strategy so
19 that NIOSH was doing certain things and OSHA was doing
20 certain things. I know a lot of people around the
21 table here were helping. It has resulted in a
22 tremendous amount of awareness of this product and of

1 the hazards with nail guns.

2 I think people know, 37,000 emergency room
3 visits a year for nail guns. There is a lot of good
4 work that can be done here.

5 I think we have learned from that roll out
6 probably things we can use in future roll out's and
7 campaigns.

8 Updated trenching guidance. We put out a new
9 poster, a new fact sheet, and a new quick card on
10 trenching, kind of updating some old products.

11 We have several more guidance products that
12 are in the works. We are updating our 100 Most
13 Frequently Cited Standards with abatement of the top
14 25, which has been a popular product for 20 years.

15 We are also working on updating some of our
16 general fall protection documents. Some of those still
17 have some references, for example, to our old
18 residential fall protection policies, so we'd like to
19 get that all consistent.

20 David talked a lot about the heat illness
21 campaign, which we felt was a very successful awareness
22 campaign that we ran in the Spring and through the

1 Summer.

2 We also this year talked a lot about
3 distracted driving. This has been in the news, again
4 in the last couple of days, this whole issue of should
5 people be using any sort of electronic devices while
6 they are driving.

7 I think this has gotten a great amount of
8 attention in terms of over the road vehicles, which is
9 a huge plus. It is also an issue in construction
10 vehicles that are off road vehicles.

11 We had an inspection last Winter in the South.
12 It was a highway construction job. A motor grader
13 operator was finishing up at the end of the day, was
14 driving his grader back to the office area.
15 Unfortunately, there was another worker on foot who was
16 run over.

17 They had several problems. They didn't have
18 adequate lighting to be working at night. They
19 actually weren't planning on working at night. They
20 just ran over.

21 As part of the investigation, our inspector
22 took a look at the motor grader operator's cell phone

1 record. He had 85 text messages and cell phone calls
2 during the shift. Half of them were to his supervisor.

3 It's sort of anecdotal, it's sort of one
4 situation. People are operating equipment and using
5 their cell phones at the same time, and that is just
6 bound to result in trouble.

7 We have to continue to raise awareness of this
8 and talk it up and try to convince people that number
9 one, we need company policies on doing this. We need
10 training on this. We need to actually do it in
11 reality. If your supervisor is calling you, that
12 condones the activity.

13 We are also working on a campaign for 2012 to
14 focus on fall prevention in construction. We are still
15 in sort of the early stages of this.

16 Christine will be talking a lot about this
17 later on today. We feel this is a good timing. Number
18 one, we have done an awful lot of work in this last
19 year updating a lot of our products for fall protection
20 and coming out with new products. We have a very nice
21 arsenal of sort of outreach products that we have
22 going.

1 NIOSH is working very hard on doing some of
2 the research that will help us to run a campaign like
3 this, especially on what kind of messages are most
4 effective.

5 One of the great things about the heat
6 campaign that we ran this year is that California had
7 done a lot of work in this area. They had already
8 developed this water, rest, shade message. They had
9 already tested it with focus groups and other
10 techniques.

11 We had a very high expectation that it would
12 be effective. Of course, it's a nice straightforward
13 message, water, rest, shade.

14 What is the right way to message a campaign on
15 preventing falls and what will work with people, what
16 are the right mechanisms for getting it out.

17 This has been another very, very hopeful joint
18 venture with NIOSH that I think will help improve the
19 quality of this campaign a lot.

20 I just wanted to finish up with sort of what
21 we consider a success story. We hear about these every
22 once in a while. This one just actually happened last

1 week in a suburb of Chicago up in Illinois.

2 One of our inspectors came to a job site.

3 This crew was working on the roof of a church. You

4 can't really see the steeple very well on the

5 right-hand photo, in either photograph, I guess. There

6 is a steeple there.

7 There were some roofers and there was another

8 worker that had an aerial lift, where he was doing some

9 power washing. They were not using any fall

10 protection.

11 The CSHO started talking to them immediately.

12 We see this actually far too often. They actually had

13 the fall protection gear in the truck.

14 Oh, yeah. OSHA is here. They decided they

15 would get their fall protection gear out of the truck

16 and get some anchor points on and decided to wear their

17 fall protection and use it properly.

18 This worker on the right, shortly after they

19 donned their fall protection, fell, and slid down the

20 roof. The fall protection kicked in just before he

21 reached the edge of the roof and saved him from going

22 off the edge.

1 You never know what kind of injuries or what
2 kind of problems he might have had as a result. That
3 fall was prevented.

4 Here on the right, you see that same worker
5 who had stopped, who is walking back up the roof to
6 continue his power washing.

7 A neat story. We hear these from the field
8 every once in a while, and I thought it would be nice
9 to share that with you.

10 Thank you very much. I would be happy to take
11 any additional questions.

12 MS. SHADRICK: I just have a couple of
13 comments. I know we had the work group here on nail
14 guns, and I think it was quite effective. I have
15 received calls from ISANTA, and they are having all
16 their manufacturers have a meeting in January to
17 discuss building safety and equipment. I think it was
18 a good step that we moved on this, so a compliment to
19 the work group that helped move it along.

20 Secondly, on the fall prevention, I hope you
21 include fall retrieval. We can discuss people tying
22 off and stuff, but what happens if they do fall,

1 especially on a multi-story building.

2 There are a lot of devices out there now that
3 I think people are unaware of that can be used to
4 retrieve a person who has fallen.

5 That is just a recommendation.

6 MR. MADDUX: There have been a lot of advances
7 in the self rescue equipment.

8 MS. SHADRICK: I can help give you some
9 information on that.

10 MR. MADDUX: Great. Thank you.

11 MR. RYAN: Jim, just one question. I was
12 wondering where the confined space is at right now.

13 MR. MADDUX: It's actually on my desk. Paul
14 Bolon is here from our Office of Construction
15 Standards. At the end of last week, we did what we
16 call the "folding together process," where we have had
17 the document in different chapters, in pieces and
18 parts, as I call it.

19 Last week, we actually put together the full
20 document into an entire rulemaking. We are making a
21 front to back pass through that right now with our
22 attorneys and our economists, so we can start getting

1 that into clearance.

2 We feel like we have made some really good
3 progress on that in the last few weeks.

4 CHAIRMAN STAFFORD: Walter?

5 MR. JONES: Thank you. Walter Jones, employee
6 rep, Laborers.

7 I think the nail gun is a great document. I
8 want to give an applaud to this committee because I
9 believe that issue was primarily pushed through this
10 committee, through the great work of Matt Gillen. It
11 was put on your radar through this committee.

12 We didn't go through a standard setting. We
13 chose the guideline. It has proven to be really
14 successful.

15 It would be great if somehow the committee
16 could get some recognition for the work it has done in
17 development. As I look at the document, it's
18 fantastic, but there is nothing on it about through the
19 advice and counsel of the ACCSH committee, nor on the
20 website or anything, any reference to the fact that it
21 germinated here.

22 MR. GILLEN: The cover letter from Dr. Howard

1 and Dr. Michaels mentioned it was from the ACCSH
2 committee.

3 MR. JONES: Often, we meet and sometimes we
4 don't get any recognition. What are we doing here. It
5 would be great for some of our products, wow, this is
6 actually done through the advice and consent of this
7 committee.

8 MR. MADDUX: I do think we need to look for
9 some ways to do that. This happens a lot. These
10 standards and guidance products that OSHA comes out
11 with wind up being very collaborative projects, where a
12 lot of people contribute to them. Often times, they
13 don't get the recognition they deserve for the work.

14 CHAIRMAN STAFFORD: Thanks, Walter. Steve?

15 MR. HAWKINS: You talked about the confined
16 space standard being in the final stages. What are the
17 remaining hurdles?

18 MR. MADDUX: The remaining process is that we
19 will do a review of the full document along with our
20 solicitors and our economists. That is actually
21 starting up right now.

22 We will resolve our differences and then we'll

1 submit it to Dr. Michaels who will decide whether or
2 not to move forward. If he says yes, it will go to the
3 Department of Labor, where it will be reviewed by other
4 affected agencies within the Department. In this case,
5 probably MSHA is the only one that would probably care
6 about it at all.

7 It will be reviewed by our Assistant Secretary
8 for Policy, go through a departmental clearance
9 procedure that we have, and then it would go to OMB for
10 review.

11 MR. HAWKINS: We are still several months
12 away.

13 MR. MADDUX: Some of those processes,
14 obviously, once it gets outside the Agency, a lot of
15 those processes, we don't control. We do everything we
16 can to shepherd them through those and to provide the
17 supports that we need. We have no real control over
18 the schedule. We are still a ways off.

19 MR. RYAN: What year was that?

20 MR. MADDUX: The standard setting process is
21 slow. When you have major standards, it takes a while.
22 This one in particular experienced a difficulty because

1 it was set aside while we focused on the crane
2 standard.

3 We were actually very fortunate. We had one
4 person in the Office of Construction Standards and
5 Guidance that continued to work on this while the rest
6 of the office was working on cranes.

7 He actually made a very large amount of
8 progress that we were able to pick up on when cranes
9 completed and start moving forward again.

10 If it wasn't for his work, another one of
11 these examples of somebody that is not getting credit
12 for the work they did, if he hadn't done that work
13 while everybody else was working on cranes and just
14 kind of quietly kept at it, we wouldn't be even where
15 we are at.

16 CHAIRMAN STAFFORD: Tish? Or did you want to
17 follow up on that?

18 MR. HAWKINS: Jim, I hate to even ask, but do
19 you have a rough idea of the time frame involved to
20 make that completion? Best case/worse case?

21 MR. MADDUX: I think the best case would
22 probably be somewhere May or June. Worse case, a lot

1 longer than that. I don't know.

2 CHAIRMAN STAFFORD: Tish?

3 MS. DAVIS: Just a few comments from the
4 public health perspective. One is with respect to the
5 nail guns, we work very closely with the vocational
6 education community. Our Department of Education was
7 extremely well received. We have e-mail contact with
8 all the trade teachers. They loved it and the
9 employers they worked with said we want more. I just
10 want to congratulate you on that. I think it was
11 terrific.

12 With respect to distracted driving, state
13 public health agencies have funding from NHTSA to
14 develop strategic safety plans, highway safety plans in
15 every state.

16 These bring stakeholders together. We have 40
17 or 50 stakeholders sitting around the table in
18 Massachusetts developing highway safety plans. There
19 are objectives about distractive driving.

20 I think it's really important to get
21 occupational objectives into these plans in the various
22 states because it becomes these planning committees,

1 these stakeholder groups who come and network, it
2 expands beyond the traditional health and safety
3 community.

4 That's one thing. Another thing we are doing
5 under this highway funding, and we are one of many
6 states developing a database of all ambulance runs on
7 emergency medical management systems, so we now have a
8 database.

9 For a long time, we have had a long-standing
10 database of all emergency department visits. We are
11 now getting a database of all emergency runs, which I
12 believe, and I'll look into this and get back to you,
13 has a location variable in construction sites as one of
14 the data elements. It's something to explore.

15 The last thing is just something I call to
16 your attention because I heard it on the radio and I
17 thought it was fascinating, I have no more information
18 than this.

19 Because the GPS systems are on our phones,
20 there is software where they can actually -- companies
21 can hold your calls if your GPS indicates that you are
22 moving. I don't know if OSHA is aware of that. It was

1 just fascinating.

2 MR. MADDUX: I heard some technologies were
3 coming that way, probably especially with some of the
4 developments this week, some of the reports from NTSB
5 and DOT and so forth, we are probably going to see some
6 additional technologies to help manage this electronic
7 communication capability in vehicles.

8 CHAIRMAN STAFFORD: Jim, your description of
9 Glen Shore's work was very interesting. Is the intent
10 for Glen to go through every trade or specific trades?
11 Is it driven by the data available?

12 MR. MADDUX: I'd have to ask Glen about that.
13 I think he's actually on his way to the airport right
14 now so he can go spend part of the holiday --

15 CHAIRMAN STAFFORD: Well, give him a call on
16 his cell phone.

17 (Laughter.)

18 MR. MADDUX: I don't know what his intentions
19 are. He's actually been working with Dr. Michaels very
20 closely and helping Dr. Michaels do various bits of
21 research. He was kind enough to pick this one up.

22 We can certainly work with him and see if

1 there are some other trades where there is a sufficient
2 amount of data to do some other interesting things like
3 this.

4 It was very eye opening to me. I think we all
5 know these accidents have tremendous impacts on
6 Workers' Compensation, but actually being able to wrap
7 some numbers around a specific occupation and a
8 specific injury pattern is very, very powerful.

9 CHAIRMAN STAFFORD: I misunderstood. I
10 thought his work was specific to construction, but it's
11 not.

12 MR. MADDUX: No. I would be happy to take him
13 from Dr. Michaels for six months, but I don't think
14 I'll have much luck with that.

15 CHAIRMAN STAFFORD: I appreciate that. Tish?

16 MS. DAVIS: Just to comment on that because we
17 work with our Workers' Compensation data, but in the
18 states, several of us, Massachusetts and a number of
19 states, are looking at under utilization of Workers'
20 Compensation.

21 What we are finding is substantial under
22 utilization of Workers' Comp, even for acute traumatic

1 injuries. We have always known that existed for
2 chronic disease.

3 Because we can now ask questions in our
4 behavioral risk factor survey in the states, we can
5 start to look at this by industry. We should have some
6 data on that.

7 The other thing that we are seeing in our
8 community health centers that service low income
9 vulnerable populations, that they are somewhat less
10 likely to use Workers' Compensation than others.

11 We think there is increased under utilization
12 by the most vulnerable workers. Nothing new but in a
13 sense that we are being able to tell the story and
14 document this.

15 MR. MADDUX: I think it's very important when
16 we look at data like what Glen put together here that
17 we do understand that because of this under utilization
18 and other problems in the data -- that's why I like to
19 look at the average cost per claim.

20 When you look at the total cost, you really do
21 have to remember always that it is at least that much.
22 That is what we actually have got a hard handle on, and

1 we don't know how big the rest of the problem is.

2 CHAIRMAN STAFFORD: Any other questions?

3 (No response.)

4 CHAIRMAN STAFFORD: Mr. Maddux, thank you very
5 much for your report, your work and the work of your
6 staff.

7 We are a little bit ahead of schedule. We are
8 going to take our break now.

9 (Brief recess.)

10 CHAIRMAN STAFFORD: If we could reconvene,
11 please.

12 MS. SHORTALL: Mr. Chair, at this time, I'd
13 like to enter into the record as Exhibit No. 5, the
14 DOC/OSHA update PowerPoint presented by Jim Maddux,
15 Director of the Directorate of Construction.

16 As Exhibit No. 6, the PowerPoint presentation
17 by Dr. David Michaels, Assistant Secretary of Labor for
18 Occupational Safety and Health.

19 (Exhibits No. 5 and 6
20 were marked for
21 identification.)

22 CHAIRMAN STAFFORD: Thanks, Ms. Shortall. We

1 are going to move on with the agenda.

2 Yesterday at one of our work group meetings on
3 Health and Green, Dean McKenzie did a presentation with
4 a lot of new tunneling projects coming up, the issue of
5 updating the decompression tables.

6 We are happy to have a presentation from Anita
7 Johnson from the Seattle Tunnel and Rail Team to talk a
8 little bit about this issue, so welcome, Anita. It's
9 yours.

10 TUNNELING PRESENTATION BY
11 SEATTLE TUNNEL AND RAIL TEAM, START

12 MS. JOHNSON: Thank you. Good morning. We
13 are here this morning actually as a group. I have with
14 me Mr. Lee Dutcher and Mr. Steve Stier with Traylor
15 Brothers.

16 We would like to come together and go over
17 some of the information regarding tunneling that is
18 going on today and some of the tunneling that has gone
19 on in years prior, just kind of start from the
20 beginning.

21 CHAIRMAN STAFFORD: Anita, I believe I have a
22 few of your hard copies of the presentation.

1 MS. JOHNSON: Yes, there are ten copies. What
2 we have done is we have put together some information
3 on tunnel projects that are going on currently, a
4 little bit of information about some of the man lock
5 configurations on the TBMs, and then the variances that
6 have been requested previously, so as many as we could
7 come up with are included in this packet.

8 CHAIRMAN STAFFORD: Okay. I'll pass around a
9 few for the committee to take a look at.

10 MS. JOHNSON: There is a stack right here.
11 Lee, did you want to say anything as we start off?

12 MR. DUTCHER: Steve and I are from Traylor.
13 We are one of the tunneling construction companies
14 within the United States.

15 Through the last few years, we have been
16 working in several states that we have been using EPB
17 machines that we are going to talk a little bit about,
18 now a slurry machine. We have also done a lot of hard
19 rock tunneling.

20 There has been significant advances. What
21 Traylor is about and what I'm about, I'm a safety
22 professional, obviously, is trying to marry up these

1 older standards with some of the new medical things
2 that are out there, that are improvements in our
3 decompression for people, and the man locks, and how we
4 are using them.

5 We have talked about doing this. I think this
6 is an excellent opportunity, and just want to thank the
7 committee for allowing us to come and talk about this
8 part of our business.

9 Steve is our local safety manager on the Blue
10 Plains Project. I don't know if any of you have heard
11 about it. It's part of the Clean Rivers Project, that
12 there will be ongoing tunneling in the D.C. area for
13 many years with EPB machines and various other possible
14 tunneling machines to create a project here in the D.C.
15 area to help keep the rivers clean and make them better
16 for all the citizens here in the D.C. area.

17 MS. JOHNSON: We put together some
18 information. We weren't sure how many people have been
19 involved in tunneling projects or had any sort of
20 tunneling information.

21 It's a very small amount of time to give you a
22 snapshot of what we are wanting to discuss, so we are

1 hoping that we can convey the message.

2 We started off with a little bit of history on
3 the standards, where they started, where they are, a
4 little bit about TBMs and the three different types
5 there are.

6 We have showcased about eight different
7 projects where we have had hyperbaric operations
8 ongoing and had some great success as a result.

9 We have included some compressed air
10 information, and then our goals moving forward after
11 this project.

12 Starting off with a little bit of the history,
13 I'm going to turn it over to Lee and have him start
14 this whole process.

15 MR. DUTCHER: We didn't know when we kind of
16 put this together what types of things were going to be
17 talked about before, so probably in your meetings, you
18 have heard some of this. We won't try to turn it into
19 a big history lesson.

20 Obviously, the decompression tables and 803A,
21 the appendix for the decompression tables, have really
22 not been changed since the original 1970 Occupational

1 Safety and Health Act approval, and even though there
2 has been changes in tunneling and changes in the
3 tunneling standards since 1926.800, there haven't been
4 any changes to the decompression tables and some of the
5 compressed air work.

6 Those things are going to be talked about
7 later. They come up as part of the projects that are
8 ongoing. We're just trying to recognize there is some
9 new medical evidence out there and the tables from the
10 1970s, there has obviously been a difference of opinion
11 now on how to decompress people for the better safety
12 of the individual worker, because that's who we are
13 trying to provide information for and get better safety
14 for our workers so they don't end up with bad knees 20
15 years after they are working for Traylor on a tunnel
16 because their decompression cycle wasn't proper or
17 didn't work to the best for their safety.

18 When tunneling started in the past, and they
19 have been doing tunneling for a long time, I'm sure you
20 have all heard of the Brooklyn Bridge and the caisson
21 work, the problems, getting workers that were dying
22 because of decompression, sickness, stuff like that.

1 Our tunneling has been going on, caissons have
2 been going on, compressed work for a long time.

3 The tunnels back in the 1970s were really big
4 tunnels and bulk headings, where you send a whole crew
5 in there to work almost the whole shift as a crew. You
6 brought them out through a big man lock. You had a set
7 up for the entire crew.

8 That type of tunneling is not where we are
9 currently at. We talk about man locks. We have small
10 man locks, three person teams. We have multiple man
11 locks on the tunnel machine itself, one for two crews,
12 one on either side of the machine.

13 We have changed the decompression stuff based
14 on the current medicine, and we are trying to make
15 fewer entries than before. We went in. Now, it's more
16 a matter of going out to the base and finding out are
17 there problems with the cutter head, do we need to
18 change cutter heads, do we need to just go with a
19 minimum amount of work.

20 We are narrowing it down. We are exposing
21 fewer people, but we want to get those people back out
22 in a safer manner.

1 There is a big difference between the
2 tunneling then and the tunneling now. Everything in
3 our culture, we have changed so many things recently.

4 You were just talking about iPhones and
5 distractive driving. You all know Apple won't let
6 anybody put that equipment on to set off their iPhone
7 when they are texting. Everybody else will do that,
8 Android and everybody else.

9 MS. JOHNSON: Where did that come from?

10 MR. DUTCHER: I just happened to be looking at
11 exactly that, because our company is very concerned
12 about our drivers in the construction business, having
13 that same problem, whether they are crane operators or
14 truck drivers.

15 MS. JOHNSON: Basically, what we are trying to
16 accomplish is to create some awareness on
17 decompression, hyperbaric operations, discuss tunnel
18 advances, and come up with some innovative solutions of
19 how we can come together as a group and effect some
20 change.

21 In an effort to formulate a plan, we are going
22 to sit here and try to get as much information from you

1 guys and figure out how we are going to move forward.

2 I am going to give the floor to Steve, and
3 he's actually going to go over what we want to show you
4 as TBM types.

5 MR. STIER: Thank you. The different styles
6 of machines, hard rock, we like to go in there and
7 drill and shoot, although there are hard rock machines
8 that do quite well. It just depends on the psi of the
9 rock versus the style of machine you are using.

10 Slurry shields, where the machine is actually
11 sending slurry up to the head to melt with the
12 conditions of the soil and then remove the soil and the
13 slurry, and continue to move your machine forward.

14 EPB, earth pressure balance, where we are in
15 pretty bad conditions for the most part, sandy areas,
16 where we will compress up just the head area, so we
17 have a much easier time of it when we are drilling
18 through that particular type of material.

19 Here, we will show you the different types of
20 machines available. Here we have a typical Robbins
21 main beam machine for hard rock. The Robbins Company
22 built the machine in 1952. They cut 160 feet in 24

1 hours in a shale, ten times faster than any other
2 method at that time. Tunneling was off and running
3 then.

4 Soft ground machines. As infrastructure
5 builds, we're having to go deeper and deeper. What we
6 are trying to do, especially in these urban areas, is
7 the ground areas are softer in these particular areas,
8 we are depending more on our compressed work for that.

9 As you can see, normal methods of doing this
10 in a soft ground is to maintain the soil around that
11 area.

12 TBMs with positive face control, such as an
13 EPB or a slurry machine, are used in such instances.

14 Here is the different types of machines. This
15 is what I was referring to, the earth pressure balance
16 machine. Just to paint a better picture of this, this
17 would be our hyperbaric chamber, and this would
18 actually be the work area for those men that are going
19 out into that pressurized area to do this work.

20 With the newer machines, these cutters are on
21 drawers that simply pull out and bolting, taking the
22 cutter out, putting a new cutter in and bolting it into

1 place, so the majority of your work is right here, very
2 safe, very compact area.

3 It's just perfect for three people as compared
4 to historically, like Mr. Dutcher said, you would bring
5 a whole crew up there back in the day. Much more
6 streamlined and better technology enables us to work
7 better in these conditions.

8 MS. JOHNSON: Everything behind that man lock,
9 Steve, can you point it out again? There are actually
10 two chambers in that section right there. You have an
11 outer chamber and an inner chamber.

12 What you can do is you can actually lock in
13 your three folks that are going to do the work. You
14 can close the door. If there is ever an emergency, you
15 actually lock in somebody to that outer chamber,
16 equalized pressure, and they can actually enter that
17 chamber that you have working folks in to take care of
18 them medically.

19 You have the availability of not only having
20 your crew in there, but your medical staff as well, if
21 there's an emergency.

22 COMMITTEE MEMBER: In an operation like this,

1 how big is a typical crew that we're talking about now?

2 MS. JOHNSON: As far as that would go under
3 pressure?

4 COMMITTEE MEMBER: Yes.

5 MS. JOHNSON: You only have about three. You
6 generally have one person doing the work, one person
7 that's the go between, and your third person maintains
8 communications.

9 It's a very small amount of people considering
10 what happened years ago.

11 MR. JONES: How big is that chamber?

12 MS. JOHNSON: Usually, your head room is about
13 six feet.

14 MR. STIER: Yes.

15 MS. JOHNSON: It's about six feet. You have
16 enough room to stretch your legs out. As you very well
17 know, when you're decompressing, you want to make sure
18 you can stretch your arms out and your legs out so
19 you're not trapping those bubbles in your joints, so
20 when you're actually back up to atmosphere, you're
21 okay.

22 MR. STIER: As opposed to our slurry machines,

1 where the cutter head is moving and the slurry is
2 pressed forward to help in formulating a better ground
3 for us to cut through.

4 MS. JOHNSON: You can see the machine that's
5 moving right there is actually forcing bentonite
6 through that blue pipe, and it's feeding to the face.
7 It's going to create some sort of a cake formation so
8 that it keeps that soil back and the water back.

9 When they are in that working chamber, the
10 bentonite is at the outside surface of the cutter head
11 there on the left-hand side, and the air pressure is
12 where those four arrows are in the middle.

13 You have your bentonite surface there on the
14 left, so it protects you, but you're actually in
15 compressed air.

16 Does that make sense?

17 MR. STIER: The point we are trying to make
18 with all this is in our work, especially with this
19 softer ground, compressed air is a valuable tool that
20 enables us to get up and make the necessary repairs or
21 maybe just spot maintenance, to ensure that the machine
22 continues working steadily for good production, and the

1 men are able to go up into that area and work
2 comfortably and efficiently.

3 MS. JOHNSON: An average working time for any
4 crew that goes in and does work under pressure is about
5 an hour. You have to remember that depending on your
6 pressure and your working time, it is also going to
7 determine what your decompression time is.

8 Most generally, on average, the pressure that
9 you're going to go in at is about 3.5 bar, and that's
10 equivalent to just over 50 psi, so that's where that
11 variance request comes in.

12 Because the technology is coming over from
13 Europe, everything is measured in bar. 3.4/5 bar is
14 equal to 50 psi. You are just barely over that OSHA
15 limit, and that is why they are having to request that
16 variance for that change in pressure.

17 What I was going to say was the average
18 working time is about an hour, which then gives you an
19 average decompression time of about an hour and a half.
20 Your crew is actually two and a half hours from start
21 to finish. That's compression to decompression.

22 On either side of that, you have time for your

1 exam's prior to and your exam's post-intervention.

2 There is actually a series of three exam's that take
3 place just for what's considered one dive or one
4 intervention, to give you a little bit of perspective.

5 Do you have anything else, Steve?

6 MR. STIER: No, that's good.

7 MS. JOHNSON: What we wanted to do is give you
8 a little bit of information on the TBMs themselves, and
9 then we wanted to showcase about eight projects, just
10 to give you an idea of some success that we have had
11 with the variances that have been requested.

12 The first project that we wanted to go over
13 was the West Side CSO project. That was actually the
14 first time a slurry shield TBM had been used in the
15 United States.

16 It was ground breaking for not only the State
17 of Oregon but tunneling as a whole.

18 They did apply for and receive six variances.

19 One, of course, was pressure greater than 50 psi.

20 Another common variance is oxygen decompression, which
21 we will go over later, the benefits to that. The third
22 and more important variance was the request to change

1 tables.

2 They actually received a variance to use the
3 French and Canadian oxygen decompression tables. As a
4 result, out of 178 interventions, they only had two
5 reported incidents.

6 One of them did not require a re-compression,
7 it was ear pain, and the second one was an individual
8 who actually was decompressed just fine, went home and
9 umpired his son's baseball game, so he was crouched
10 down for about three to four hours in the middle of the
11 Summer. As a result, he ended up with decompression
12 issues and had to be re-compressed.

13 It's really important that these folks go home
14 and adhere to their post-intervention instructions,
15 which is not to fly or go to higher elevations, not to
16 be sitting in hot tubs, working out, things that could
17 create problems for them post-intervention.

18 When you decide to become a compression
19 worker, it actually encompasses not only your work life
20 but your home life. It is something they really have
21 to commit to.

22 MR. STIER: Issues that are constantly gone

1 over in the training and before every intervention,
2 those training issues are brought up.

3 MS. JOHNSON: They are sent home actually with
4 information. Sometimes, as with anything, I don't
5 think they often think it applies to them.

6 Unfortunately, this worker after this happened was an
7 advocate for post-intervention instructions, and he was
8 probably the poster board for you had better adhere to
9 these, this was not fun.

10 Any questions on that particular project?

11 MR. RYAN: The post-intervention, how long
12 does that period last?

13 MS. JOHNSON: It's usually about three hours.
14 It's a little bit dependent upon your hyperbaric
15 supervisors. In this case, it was Dr. Ken Wohl and
16 Mr. Cordon.

17 We set a rate of approximately three hours at
18 the job site itself. You would have your exam
19 immediately after you exited the man locks, and then
20 you'd actually go up top to the surface and hang out
21 for three hours, have your last exam, and then you were
22 permitted to leave the site.

1 You want to make sure to observe these folks
2 for a certain amount of time post-intervention to make
3 sure there are no problems.

4 MR. RYAN: How long does a crew work
5 typically?

6 MS. JOHNSON: Steve?

7 MR. STIER: The time period spent in
8 compressed air is not a full shift by any stretch.
9 You're looking at probably an hour to three hours where
10 they are in there to do their work. Whether the work
11 is finished or not, we will have them come back out.
12 If need be, we will send a fresh crew in to complete
13 the work or continue the work.

14 MS. JOHNSON: Even though they're not
15 performing an eight hour shift, they are always paid
16 for an eight hour shift. It's just it may vary in
17 time, like Steve said. It could be an hour, two hours,
18 it could be five hours. Nevertheless, it's a full day
19 for them.

20 After they leave the site, again, they can't
21 do anything strenuous. They have to sort of relax, I
22 guess their excuse to sit on the couch and not have to

1 get up.

2 They are paid for a full eight hour shift.

3 MR. RYAN: Post-work, after they are done
4 work, what's the deal about going home and making sure
5 you don't drink or go into higher elevations? How long
6 is that period of time until your body is cleared up or
7 okay?

8 MS. JOHNSON: Usually, 24 hours. We don't
9 like to do repeat dives any closer than 24 hours.

10 MR. RYAN: Thank you.

11 MS. JOHNSON: No problem. Any other
12 questions?

13 MS. SHADRICK: Have you had any incidents or
14 what kind of incidents have you had?

15 MS. JOHNSON: Just the two, just those two
16 incidents, that's it, out of 178 interventions. One
17 was ear pain, didn't require re-compression, and then
18 the individual that umpired his son's game.

19 This was a great project for oxygen
20 decompression and the benefits it had to the workers as
21 a result.

22 The next project I'm going to have Lee and

1 Steve speak about is their NEIS project that was an EPB
2 machine in Los Angeles.

3 MR. DUTCHER: This is pictures of the machine.
4 They did just a handful of interventions. In reality,
5 200 doesn't sound like a lot, but that is 200
6 individual people out there. It's not individual time.
7 There are 200 people that went under pressure, came
8 back.

9 Because of the short working time frame, that
10 cutter head out in front moving several cutter head
11 pieces back. Like Steve says, they're on a tray, and
12 two guys can go out with their supervisor and pull that
13 back, change the cutter head, and get out within a
14 reasonable amount of time, and then we set it up so two
15 crews can alternate and get in there.

16 When they're going to do an intervention with
17 several people going up, it is planned out. We were
18 talking about IPPs and stuff earlier. We plan
19 everything out in detail so that everybody gets all the
20 instruction, they get the medical benefit. We have the
21 medical officers available.

22 We had one guy come back that ended up with a

1 decompression injury. It wasn't a significant injury.
2 He was treated, re-compressed, and home the next day
3 after the compression. It wasn't a long term issue.

4 This project wasn't so much oxygen
5 decompression. It used Navy Revision 6 decompression
6 tables, which are also based on a lot of the newer
7 medical technology, and they used the doctor down there
8 in Los Angeles.

9 There are people on the West Coast and East
10 Coast that have a lot of hyperbaric work out there, and
11 because of diving, commercial diving along the Gulf
12 Coast where those tunnels in those areas get really
13 good medical care from their medical personnel on their
14 hyperbaric stuff.

15 This is a great project. "NEIS" stands for
16 Northeast Interceptor Sewer." It's a sewer project for
17 the City of Los Angeles to improve their long term
18 requirements for the EPA.

19 MS. JOHNSON: Steve?

20 MR. STIER: Like the CSO tunnel and this
21 tunnel, obviously, there are two different companies.
22 Both companies, I know, put a lot of emphasis on the

1 training and raising the awareness of the individual
2 workers who are going in and working in the hyperbaric
3 conditions.

4 You think about people gravitating towards
5 tunneling. It's not a glamorous sort of construction
6 job at all. We get more of your macho type people,
7 tough people that come out and work.

8 To raise their awareness, to make them feel
9 like hey, believe it or not, compressed air can hurt
10 you, it's quite a tough task.

11 Day in and day out, before, during and after
12 our interventions, we are continuing to raise the
13 awareness to the employees, continuing the training,
14 and making sure these folks are working as safely as
15 possible in these conditions.

16 We have dedicated as a company, Traylor, to
17 ensuring the safety of our people, and through this
18 training and awareness, we're doing quite a good job
19 these days.

20 MS. JOHNSON: Tunneling in compressed air is a
21 huge topic. We could spend all day talking about the
22 different aspects of it and the details.

1 We tried to focus on the compressed air piece
2 by itself. We tried to focus on specific projects that
3 have used hyperbaric operations successfully. We tried
4 to give you guys a background.

5 As Steve was saying, there's a huge component
6 to this that we haven't even touched on, which is the
7 pre-planning process for just putting the whole system
8 together, from your hyperbaric supervisors to your
9 medical staff, and that whole piece of it.

10 There is so much than just the intervention
11 itself. Before anybody even goes in to perform work
12 under compressed air, you have a pre-intervention
13 meeting. Who is in charge, who is making the
14 decisions, what kind of pressures you're working at,
15 how long you will be working, who you will be working
16 with. You go through your medical evaluation.

17 You have everything lined out in very specific
18 detail as to how this whole thing is going to go.

19 There is a whole piece of it that we haven't
20 covered that you probably should be aware of.

21 The next three projects that we wanted to go
22 over would be the Brightwater projects. There are

1 three of them. I'm sure everybody is fully aware of
2 all three of these.

3 Brightwater East did request and receive four
4 variances. Again, for the over 50 psi, oxygen
5 decompression and change in the tables.

6 The fourth one is often automatic controls.
7 What happens is these machines are manufactured and the
8 controls that are put in them are automatic. As you
9 very well know, things change when you're working. You
10 can end up working an extra five minutes on a
11 particular dive or particular intervention, which you
12 would want to put somebody into the next table to
13 ensure they had safety compression.

14 If you have automatic controls, they don't
15 allow for any sort of change, any sort of differences.

16 One of the requests that we put in for a
17 variance always is to take away that automatic control
18 nature. Everything is done manually outside of the
19 chamber.

20 Brightwater West, they had three variances,
21 but they actually didn't go in and do any intervention.
22 They had to request all these variances and they set up

1 the whole program, but they never actually had to go in
2 under compressed air. I believe they went under free
3 air, but they never had to go in under compressed air.

4 On Brightwater Central, they requested and
5 received seven variances. They had over 200
6 interventions. As a result of those 200 interventions,
7 actually we had a phone call yesterday, and they only
8 had three incidences of DCI. 200 interventions, only
9 three guys that they had any issue with.

10 The median working pressure was about 3.5 to 4
11 bar. They were set up for a maximum of six bar. At
12 that point, they would have had divers come in and
13 perform the work under mixed cat. Yes?

14 MR. STRIBLING: On Brightwater West, you
15 mentioned they never went in under pressure. How
16 common is that when you have a choice?

17 MS. JOHNSON: Sometime when you have an EPB
18 machine -- we forgot to go over this -- what happens
19 with EPB is if you're in good soil, if you're in clay
20 or soil that holds itself back, you can actually open
21 the face of that machine without pressurizing it. It
22 has two modes it can run in, closed mode and open mode.

1 The most optimal of scenario's is to go in
2 under regular atmospheric air. However, if you have
3 sand, water, silt, that sort of thing, you obviously
4 have a pressure at the face, close it off, and send
5 people in under those pressurized environments.

6 However, we don't want to really have to do
7 that. We really want to go in under free air.

8 MR. DUTCHER: Just in the last few years -- we
9 showed the one LA project for NEIS. We also did the
10 Gold Line down there as part of another joint venture
11 project. They had to go through all the same things,
12 get all the CAL OSHA variances. All of their work on
13 the cutter head was in free air.

14 Because there was the potential in some
15 areas -- tunneling, because it's underground, you can
16 only inspect in a few spots where you bore along the
17 line to tell what kind of soil, you are never 100
18 percent sure when you start that machine what you're
19 going to hit.

20 The potential is out there based on the
21 geology for this amount of pressure, so you have this
22 type of machine. You go out there, maybe you don't end

1 up there. You don't have to stop there because of the
2 maintenance schedule and things like that, and you can
3 do it in free air. We'd much rather do it in free air
4 because certainly if we don't have to compress someone,
5 we don't have to decompress them.

6 MS. JOHNSON: That's right.

7 MR. DUTCHER: Certainly, there is less
8 potential for nitrogen entrapment in the body tissue.
9 If we don't have to do it, we are happy about that.

10 Two projects operated actually out of the same
11 office in Los Angeles. One had to do compressions. I
12 sat with that with the whole crew going through it a
13 couple of times. It's just an amazing process.

14 We may ask you at the end to see what we can
15 do, what help you can give the contractors out here so
16 we don't have to continue spending man hours like Steve
17 and his group are going to be going through here in
18 D.C. to get the same variances that have now been
19 requested dozens of times across this country.

20 MS. JOHNSON: Steve?

21 MR. STIER: Just quickly, free air versus
22 compressed air, it's not really a guessing game. We're

1 not taking any chances. We know what we're getting
2 into through our geologists and our competent people.

3 We know full well when we can go into free
4 air. Like I said, I don't want anybody sitting here to
5 think it's a guessing game. We're fully aware through
6 our geology and our competent people what kind of
7 conditions are out ahead of us.

8 MS. JOHNSON: The only time it would be
9 unexpected is if there was a breakdown in an area that
10 we didn't think we were going to have to stop in. It's
11 not that it's a guessing game, it's just you do have
12 your geological profile and you have areas where you
13 know you can run in open and where you would need to
14 run in closed.

15 If you happen to have a problem in an area you
16 weren't expecting to, then you may have to pressurize
17 and go in and fix that problem.

18 The only machine that has that option is EPB.
19 Slurry does not have that same option. You always are
20 going to have to go in under pressure.

21 The current projects that Lee and I are
22 involved in now are the University linked projects out

1 of Seattle. Currently, the University of Washington
2 project itself has requested and received six
3 variances. Again, the same ones, automatic controls,
4 oxygen decompression, those same things.

5 They are expecting 34 stops. That means there
6 are 34 locations along the alignment that they are
7 requested to stop to either make inspections or perform
8 maintenance. That could mean one day could consist of
9 three to six interventions.

10 When I see 34 stops, that number could be 340
11 interventions. We have no idea. We could stop, not
12 have a problem, keep going on. We could stop and say
13 oh, my goodness, we have to replace buckets and cutters
14 and all these things, and have to go in under several
15 teams to perform that work.

16 Thirty-four stops again could mean lots of
17 interventions. At this point, again, it's an EPB
18 machine, we can perform it under free air, and that's
19 what we would like to do. However, we are set up to
20 perform under compressed air if the need arises.

21 On the Capitol Hill tunnel, they are in the
22 process of requesting probably five plus variances.

1 That one hasn't been decided yet. We don't have as
2 much information on the Capitol Hill tunnel itself.

3 Question?

4 MS. SHADRICK: Hi. Laurie Shadrick. I'm just
5 curious, how many miles is this, if you have 34 stops?

6 MS. JOHNSON: There is actually two tunnels.
7 The University of Washington tunnel is actually two
8 twin tunnels. They are 22 feet in diameter and they
9 are each going 2.15 miles.

10 The South bound tunnel has gone just over a
11 mile at this point. The Capitol Hill station is
12 actually running one TBM at a time, and it's only going
13 a mile. They are going to run a mile, pull through at
14 the station, pull their machine out, and run that
15 second mile.

16 They have actually completed one run already.

17 You can in a sense request all these variances
18 and never do an intervention, or you could encounter
19 bad ground and have breakdown's or require maintenance.

20 An intervention is any time you have to go
21 into the face of the machine, that could be pressurized
22 or at atmospheric air.

1 Steve?

2 MR. STIER: Just to clarify, in a mile, we
3 talked about stops earlier, that has to do with the
4 contractual obligations of the job, too.

5 MS. JOHNSON: Yes, the owner.

6 MR. STIER: In this case, the owner is asking
7 us to make this many stops. In a mile, you could have
8 three stops or you could have 34 stops.

9 MS. JOHNSON: You could have a stop every
10 1,000 feet. It really just depends on what the project
11 specs call for, what happens with the machine along the
12 way. There could be a breakdown.

13 With the slurry machine, you never see it in
14 the tunnel. It's all piped out. It comes out to the
15 surface and then gets dumped onto a conveyor and gets
16 trucked away.

17 With an EPB machine, you actually have a
18 continuous conveyor along the length of the tunnel and
19 it actually goes out of the tunnel and into the muck
20 bin.

21 Sometimes -- I know with the slurry machine,
22 they have people monitoring the muck bin because if you

1 see metal pieces coming out in the muck bin, you
2 obviously know you have a problem. They would stop
3 things at that point.

4 Sometimes they can send a camera in to kind of
5 take a look at the condition of the cutter head and
6 sometimes you can't.

7 At that point, you would stop your machine,
8 you would plan an intervention, which means you would
9 pull your team together, you would have your meetings,
10 you would check on your pressures, you would find out
11 how many teams you think you are going to need to go
12 in, and you set the ball in motion to have everything
13 taken care of, to be able to go in and perform work on
14 that particular machine.

15 MR. STRIBLING: What you said about the
16 interventions and planned and unplanned, et cetera,
17 going back one slide, I just have to ask, how come the
18 one job, same company, has 200 plus interventions and
19 the other one has none? Were they planned or
20 unplanned?

21 MS. JOHNSON: There were two machines. On the
22 three Brightwater projects, the East and West only had

1 one machine, one tunnel. Central actually had two
2 machines, two tunnels.

3 Sometimes when you have two machines, you
4 obviously have more instances for issues, but they had
5 some different ground problems. Because of their
6 ground conditions, their machine had some issues
7 cutting through that ground.

8 MR. STRIBLING: They were unplanned?

9 MS. JOHNSON: A lot of them were unplanned as
10 a result of needing to make some repairs; yes.

11 MR. HAWKINS: I don't understand why we have
12 six variances. Why wouldn't you just have a single
13 variance, application?

14 MS. JOHNSON: Remember, this is the State of
15 Washington, too. With the State of Washington, each
16 WAC code requires its own variance.

17 Instead of just taking one over the whole
18 code, they have to request one for oxygen --

19 MR. HAWKINS: For each standard?

20 MS. JOHNSON: That's right.

21 MR. DUTCHER: We're believing that we have
22 potentially a safer way to do it than what's written

1 currently.

2 MR. HAWKINS: I understand.

3 MS. JOHNSON: Their standards are 1964, I
4 think, the last time theirs were looked at, and one
5 particular standard actually says that you're not
6 allowed to compress women -- that is one of the ones
7 they have to request each and every time. As we all
8 know, there are women in the workforce.

9 MR. HAWKINS: Have you ever been compressed?

10 MS. JOHNSON: I have. Actually, in the State
11 of Oregon and in Washington both.

12 MR. HAWKINS: Because you obtained a variance?

13 MS. JOHNSON: That's right. The last project
14 that we wanted to bring to your attention was the Lake
15 Mead Intake No. 3 Project.

16 They are actually requesting three variances.
17 They haven't received them quite yet. They are still
18 working through that process. Again, it's exceeding 50
19 psi, the tables, and the automatic controls.

20 They aren't expecting to pressurize people
21 beyond 4.5 bar, so we are clear. I know they have
22 higher pressures for other methods, doing work there,

1 but people themselves will only be expected to go to
2 4.5.

3 Any questions?

4 MR. STRIBLING: What is the highest that you
5 are aware of people being pressurized, not these jobs,
6 but other jobs?

7 MS. JOHNSON: I'll let Steve answer this one.

8 MR. STIER: I can't speak for Europe
9 necessarily, but with variances, I've seen them go just
10 over 50 psi. Again, that is with a variance.

11 MS. JOHNSON: Like I said, the Brightwater
12 Project, Central, I think they were actually given a
13 variance up to six bar. What happens is you can only
14 use compressed air workers for the lower pressure work
15 because when you go into a higher pressure, you're then
16 introducing Trimix and some of the other gases that a
17 compressed air worker isn't necessarily trained to
18 perform work under.

19 What they will do is they will often times
20 bring in a dive company and they will have professional
21 commercial divers come in and actually have them train
22 with the compressed air workers.

1 You have two groups of people. You have the
2 compressed air workers who are familiar with the
3 machine and with the work that needs to be performed,
4 but then you have the commercial divers that are
5 trained to do work under that mixed gas. They actually
6 cross train each other.

7 At a lower pressure, they will bring one diver
8 in for two compressed air workers and do some cross
9 training so that in the event the machine actually has
10 to be pressurized up to that six bar pressure, they
11 have commercial divers that can do the work.

12 That's often times how things happen. When
13 you set up a plan, you'll have a threshold and you will
14 say okay, well, I'm only going to allow compressed air
15 workers to go up to four bar. At that point, it turns
16 over to a commercial diver operation.

17 MR. DUTCHER: They're used to it. They set up
18 and train for what they call "saturation dives," where
19 the compressed air workers, we're not going to expose
20 them to the longer term, the higher pressures.

21 There is a slide, I think, that talks about
22 the difference in the training between the two groups.

1 MR. MARRERO: Tom Marrero, Zenith Systems.

2 When they have SCBAs --

3 MS. JOHNSON: When they're decompressing.

4 MR. MARRERO: Are there any instances where
5 they have to have SCBAs down there?

6 MS. JOHNSON: Not when you're working. Just
7 when you're decompressing.

8 MR. MARRERO: With the different gases, do the
9 tables vary?

10 MS. JOHNSON: Yes, you have different tables
11 when you're working on the Trimix decompression.

12 I wanted to give you a little bit of
13 information on compressed air, just a tidbit, just to
14 give you an idea.

15 As a result of these projects, this is sort of
16 where you come up with this information for the
17 variances.

18 We talk about this oxygen decompression. The
19 reason why we are requesting it and the reason why it's
20 used is because if you can envision the body and the
21 bubbles that can accumulate in the joints as you're
22 pushing oxygen through the body, it is pushing those

1 bubbles through as well.

2 It's alleviating that build up in your elbows
3 and your knees or your shoulders, and you're actually
4 able to circulate things through better, and then when
5 you're actually brought back up to atmosphere, you have
6 that all worked through, and there is less cases of
7 DCI.

8 That's the reason why they are pushing for and
9 receiving variances for the use of oxygen
10 decompression.

11 MR. DUTCHER: Certainly for those that are
12 really into Boyle's laws and the Charles laws for gases
13 and stuff, if you change the partial pressure, the
14 pressure outside the body versus the pressure inside
15 the body, more nitrogen can be absorbed into the
16 tissues when you have the higher pressures, and we have
17 to get that nitrogen back out of the tissues, so the
18 decompression tables that into effect and the oxygen
19 helps release that, because it changes those partial
20 pressures of oxygen in the body.

21 It's a very technical thing, but that's where
22 all the new medical information is coming from, how to

1 use those decompression tables.

2 MS. JOHNSON: You have to remember, too, when
3 you're in water and you're actually diving, the only
4 way you have to go is up. You're going to go up at a
5 slow rate and you're going to decompress yourself on
6 your way up to the surface.

7 When you're in a lock, it's different. You're
8 not in water, you're in air. It's similar yet
9 different.

10 With the different oxygen decompression tables
11 that I've seen used, it's more of a stair set process.
12 You're at depth, you go up, you stop. You're going to
13 stabilize for a little bit of time, and you're going to
14 go up again, and you're going to stabilize, and you're
15 going to go on oxygen for a few minutes at a time at
16 each one of those stops, until you get all the way to
17 the top and you are back in atmosphere.

18 The Navy dive tables or some of the other
19 tables are really just a straight line, straight up to
20 the surface.

21 Compressed air work is slightly different in
22 that you do need to have those stops and you do need to

1 more stair step it to get to where you want to be.

2 CHAIRMAN STAFFORD: On the question of
3 variances, it is interesting to me. This is just a
4 process that you have to go through in order to do the
5 work.

6 Are there ever situations where variances are
7 denied?

8 MS. JOHNSON: No. None of the projects I've
9 worked on, so I guess I can't speak for other projects.

10 MR. STRIBLING: That leads me to a question
11 because a lot of those variances were in jurisdictions
12 that had state plans.

13 MS. JOHNSON: That's right.

14 MR. STRIBLING: Has there been any talk or work
15 with those states to have a rule that addresses your
16 industry for what you're doing?

17 MS. JOHNSON: The State of Washington has
18 started an informal stakeholders group. As we know,
19 the State of Washington is in a moratorium, so they
20 can't make any rule changes.

21 We did speak with Silverstein and his group
22 and said hey, can we at least start the process and sit

1 down as a group and discuss what we think we need to
2 make changes of.

3 I believe there was a room of probably 50
4 people that got together, both industry, the state,
5 doctors, to try to get this ball rolling.

6 MR. GILLEN: Does tunneling have like a
7 professional trade association and does the trade
8 association have like a Code of Practice or something,
9 good practices?

10 MS. JOHNSON: I don't know which one of you
11 wants to speak on that. There are several trade
12 associations. The majority of them have moved forward
13 or tried to move forward with making some changes. I'm
14 just not sure where it's gone or what the availability
15 of those changes are.

16 You're making a face.

17 MR. STIER: I'm trying to go back and recall
18 some of the different areas in which the tunnel
19 laborers are organized. I know we have all heard of
20 the Sand Hogs. They are really proud of their work and
21 their safety. They are organized in that way and we
22 present our issues to them before we would go in and

1 look at the variances.

2 That is just one in particular that's coming
3 to mind.

4 MR. GILLEN: That's not what I meant. I think
5 what I meant was really more like the contractors, the
6 specialty contractors that do the work.

7 If there's a Code of Good Practice for doing
8 it for safety and health, something like that that is
9 going to have some recognition that other safety and
10 health professionals have looked at.

11 I've never done a variance. Something like
12 that might make it -- provide some sort of objective
13 basis that would make it easier to do variances.

14 MS. JOHNSON: I don't know if that would
15 change the process a whole lot. You still have to go
16 through the whole variance process as a whole with each
17 state.

18 MR. GILLEN: I guess I'm just wondering, the
19 OSHA table only goes up to 50 and if you have to go
20 beyond 50, somebody has to find a table out there for
21 some guidance. It's those kinds of questions I was
22 thinking about.

1 MS. JOHNSON: Each contractor hires and teams
2 up with a hyperbaric expert, so to speak. Those are
3 the folks that sit down and look at the project
4 pressures and determine what tables would be best
5 suited based on the situation.

6 I don't know that something like that would
7 work necessarily. You are still going to have to
8 request it.

9 MR. STRIBLING: You're saying it's job
10 specific?

11 MS. JOHNSON: It is job specific.

12 MR. HAWKINS: That defies the whole thought of
13 a standard. You can't come forward and say each one is
14 job specific and then be talking to OSHA about you need
15 to update your standard.

16 MS. JOHNSON: What needs to happen -- I guess
17 what I'm trying to say is each job knows they are going
18 to have to go over 3.4/5, which is that 50 psi. That's
19 where the ceiling is right now. We already know we're
20 going to be beyond that.

21 In that sense, it's not job specific, based on
22 pressure, but at this point, because there is no

1 standard beyond that, it's job specific because they're
2 going out and hiring hyperbaric people to come in
3 and --

4 MR. HAWKINS: The decompression, you would
5 think there would be a standard decompression table
6 that is safe for a human being to go from four bars
7 back to one atmosphere.

8 MS. JOHNSON: There are several.

9 MR. HAWKINS: You would be thinking that your
10 industry would get together and say okay, we need to
11 try to standardize this, get our experts together and
12 say what is the standard step down that we're going to
13 use or step up, whichever way you think about it, to
14 get a person back to one atmosphere so they are okay.
15 You wouldn't think that would be job specific. If it
16 is, how are we ever going to have a standard?

17 MS. JOHNSON: Maybe after we're able to sit
18 down after this meeting, maybe that's one of the next
19 steps we can go towards, getting a group of contractors
20 together and looking at standards and making a good
21 practice rule or putting together some new information.

22 MR. DUTCHER: Of course, the reality for earth

1 pressure/slurry machines and stuff like that is while
2 it's not brand new technology, it's fairly new
3 technology.

4 As an organization, Traylor, we're looking at
5 improving our processes, as I assume are our other
6 brethren in the tunneling business looking to improve
7 their processes.

8 What the decompression tables are based on was
9 medical information that's in Appendix A from 40 years
10 ago. There are a handful of things, the newer version
11 six for the Navy dive tables. They do talk about
12 oxygen decompression. It's a 900 page document. They
13 have a lot of good stuff in there.

14 There are also European tunneling contractors
15 that have utilized some of the European standards. We
16 are really trying to bring a lot of things together.
17 It is a fairly new field, even though not brand new to
18 the world.

19 We have projects that are closer to the
20 surface now, some that are deeper, so you get deep
21 pressures in hydraulic areas where there's a lot of
22 water pressure, and you get these tunnels that are 40

1 and 50 feet below the surface where you have a
2 subsidence, maybe you cave in the middle of a major
3 highway down into the tunnel.

4 We just can't do that. We have to continue to
5 improve all of our stuff. We're just here kind of
6 looking at a very specific thing, you know, the tables
7 themselves, how can we look at getting newer tables
8 instituted, if not a rule change, some kind of get
9 everybody together and come up with these are the
10 tables we would like to use to provide directly to
11 OSHA.

12 I was just asked just a few weeks ago to even
13 come and talk to your organization, which is such a
14 great benefit to all the safety professionals getting
15 new information into OSHA through this committee.

16 It's such a moving target. You're right. We
17 have to get some things finalized in detail. There are
18 newer tables with a significant amount of medical
19 technology that ought to be considered.

20 Maybe there is some confusion. We, I don't
21 think, came in believing we had to have a rule today or
22 something like that. We just want to provide the

1 information.

2 (Laughter.)

3 MR. DUTCHER: We want you to provide the
4 information.

5 (Laughter.)

6 MS. DAVIS: This is all new information for
7 me. How controversial are the updated tables? Is
8 there a great deal of controversy in the scientific
9 field about what ought to be in these updated tables?
10 Is there a general consensus?

11 MR. STIER: The fact that with every
12 compressed air job, we're asking for no less than three
13 variances, you know, they go up to maybe seven
14 variances. I guess it is controversial in that we're
15 continuing to go to OSHA to go past their standard.

16 MS. JOHNSON: The tables themselves are not
17 controversial.

18 MR. HAWKINS: Are they similar from job to
19 job?

20 MS. JOHNSON: Yes, they are all oxygen
21 decompression tables.

22 MR. HAWKINS: They don't vary much from job to

1 job? Sometimes are they almost identical?

2 MS. JOHNSON: They're very, very similar. You
3 have Canadian tables. You have French tables. There
4 are several different versions of tables. They are all
5 oxygen decompression tables is what it comes down to.

6 MR. DUTCHER: Length and time and pressures --

7 MS. JOHNSON: Are all relative.

8 MR. DUTCHER: All those gas laws are in the
9 new medical reviews. Those partial pressures that
10 allow X amount of nitrogen, that doesn't change. There
11 are these oxygen tables. We have to bring in divers,
12 saturation type divers that can go in. Those tables
13 are specific to saturation dives.

14 MS. JOHNSON: They are all very similar.

15 MR. DUTCHER: The compressed air workers,
16 their tables are specific to their amount of time,
17 material that can get into their bloodstream, into
18 their muscles, into their ligaments, and are figured
19 out pretty well.

20 Everybody individually has some minute
21 differences, but the steps are all well figured out.
22 If you change five minutes or ten minutes, you go into

1 a new step in the table. They are very conservative.

2 MR. HAWKINS: There is consensus among the
3 tables.

4 MS. JOHNSON: Yes, that's correct.

5 CHAIRMAN STAFFORD: Liz, did you have a
6 question?

7 MS. ARIOTO: We had an elevator and it was
8 completely different from the elevators that are used,
9 like 20 or 30 years ago. It was smaller. They asked
10 for a variance. We were doing 30 variances a week on
11 these elevators.

12 They had to make rulemaking on this because it
13 was a common and every day thing now using this type of
14 elevator.

15 I really recommend that you actually put
16 together a plan and working with the tunneling
17 division, maybe start with one state plan even.

18 MS. JOHNSON: Yes, that's what we are working
19 on.

20 MS. ARIOTO: I really recommend it. They will
21 make a rulemaking on this. They will work on it
22 because that's what people are using nowadays. It may

1 take you longer in certain states more than others, but
2 I highly recommend that you put your package together
3 and work very closely with the unit. It makes it
4 easier for both parties.

5 MS. JOHNSON: Right.

6 MR. GILLEN: I have a couple of questions.
7 One was can you give us a sense of how much lead time
8 before the job it takes for the variance and the amount
9 of time spent by both companies and the states
10 involved?

11 Is this a relatively one month thing or is it
12 more like a year thing?

13 MS. JOHNSON: It's about a year, year and a
14 half, prior to any tunneling going on that you sit down
15 with the state or with OSHA and you give them your copy
16 of your hyperbaric plan. You talk about pressure. You
17 talk about the whole system.

18 It's several meetings, several letters,
19 several conversations later before the variances are
20 even written and received.

21 MR. GILLEN: My second question was related to
22 oxygen. You referred to in the past there was a fire.

1 There are industry-wide procedures. Have there been
2 fire related incidents where oxygen has been used?

3 MR. DUTCHER: The TBMs and the man locks are
4 made with fire compression units based on water to
5 suppress a fire and not kill everybody that's inside.

6 MS. JOHNSON: There hasn't been any.

7 MR. DUTCHER: All the equipment, the breathing
8 equipment, is always checked and re-checked before it's
9 ever used.

10 MS. JOHNSON: There are oxygen monitors.
11 There is a whole system. You could spend a whole day
12 talking about compressed air and the different aspects
13 of it. There are systems in place and checks and
14 double checks and personnel and procedures to protect
15 the workers that are in there under compressed air.

16 I guess what we're trying to say is we have an
17 industry that's primarily using soft ground tunneling,
18 and as a result, are going to be putting people under
19 compressed air hundreds of times.

20 We as an industry are requesting variances to
21 work if the pressure is needed and we have the systems
22 set in place.

1 We were hoping we could work together to come
2 up with a plan on how to meet current technology with
3 the current standard, how we can make this work for the
4 folks that are going to be in there working under
5 pressure.

6 CHAIRMAN STAFFORD: I am going to follow up on
7 Steve's question. This seems like such a specialized
8 area. How many contractors or companies are there in
9 the United States that do this kind of work?

10 MR. STIER: You have seen most of the major
11 ones mentioned in this work, Traylor, Kenny/Shea,
12 Obayashi has been a team player. Half a dozen of the
13 major players.

14 I want to draw attention to the folks that
15 aren't major players that depend on these variances
16 also to get their work done. There could be another 20
17 of the small contractors that are doing much smaller
18 work that may not have the benefit that our larger
19 companies have.

20 What we are doing is we are hoping to get it
21 out for even the small mom and pop outfits out there.

22 MS. JOHNSON: Just to sort of wrap up, like I

1 said, when you have a compressor worker, you want to
2 make sure you're looking at not just their physical
3 profile, you want to look at your exposure time, which
4 is pressure and time.

5 You want to look at their training and
6 certifications and make sure you have covered
7 everything before you put anybody under pressure. Last
8 but not least is the injury rate.

9 The Undersea and Hyperbaric Medical Society
10 goes in and they do assessments every year, every other
11 year. What they have come up with is two incidents for
12 every 1,000 dives in compressed air work, and one
13 incident for every 1,000 to 2,000 dives with commercial
14 divers.

15 The reason we are thinking for that is the
16 level of training. We talked about this a little
17 earlier in the presentation.

18 Commercial divers are required to go through a
19 minimum of 18 weeks of training. For compressed air
20 workers, I think the Code requires a day of class, an
21 eight hour day of class.

22 They are given more training by the contractor

1 than that, but that's really all the Code requires them
2 to do. They are going above and beyond because they
3 want to make sure that compressed air worker is going
4 into that environment very well educated. However,
5 it's not required.

6 The second piece is the level of medical
7 support.

8 Sometimes you have these projects such as Lake
9 Meade where it's out in the middle of nowhere. Your
10 medical support is not readily available in such that
11 they actually have to have it actually located there
12 on-site.

13 They have the heli-pad right there next to
14 their shaft. They have EMTs located on-site. They
15 have a whole medical staff that actually has to be a
16 part of their crew because the medical support is not
17 as available because of their location.

18 Any questions?

19 MR. JONES: Walter Jones. A couple of
20 questions. The first one is it's not clear what your
21 ask is. It does seem to me there are a large number of
22 workers being exposed, that's the reason there's not a

1 great push for this.

2 Have you guys looked at getting together with
3 labor and other groups to develop a consensus standard
4 similar to what Steve was talking about and then just
5 using that all the time, and then asking OSHA to give a
6 blanket variance --

7 MS. JOHNSON: That's exactly what we're asking
8 for, what you just said, a blanket variance. Yes.
9 That is what we were going to talk about on this last
10 slide.

11 We're not asking to obviously go into a
12 rulemaking phase or let's get this going. What we're
13 asking for is something like you just mentioned, a
14 blanket variance that says hey, we realize these three
15 or four specific issues are being requested, so can we
16 work together to put a blanket over those specific
17 items so that our contractors are able to perform the
18 work without having to go through that year and a half
19 process of requesting and receiving variances.

20 That's exactly what we are asking for.

21 MR. STIER: I don't know that we have ever
22 gone into a variance situation where we're presenting

1 our side that we have not had at least the laborers and
2 the operators in there with us.

3 MS. JOHNSON: Absolutely, each and every time.

4 CHAIRMAN STAFFORD: They have been with you on
5 these variances?

6 MR. STIER: Yes, sir.

7 MS. JOHNSON: Absolutely; yes.

8 It's definitely a consensus. It's a group
9 effort by contractors, by owners, by construction
10 management teams, by labor; yes.

11 MR. JONES: One more question. I don't know
12 if you're familiar with CSIP, Construction Standard to
13 Improvement Project, and have you talked with OSHA
14 about an opportunity to maybe develop a consensus
15 standard. That is a way of improving the standards
16 that doesn't have the time lag. That may be another
17 option as well.

18 MS. JOHNSON: Again, that's something that
19 we -- most of the variances in the past have been
20 requested of state programs. This is the first time, I
21 believe, that Federal OSHA, has been requested to
22 approve a variance for the Blue Plains Project.

1 Again, there are four more projects after this
2 that are probably going to have to go through that same
3 process.

4 It's been handled at a state level up to this
5 point. At this point, we're trying to bring it to the
6 Federal level and say okay, what's our next step, what
7 can we do, how can we change this, or what kind of
8 consensus can we come to, is there a blanket variance
9 we can apply for or OSHA can give. What can we do,
10 help us.

11 CHAIRMAN STAFFORD: First, Anita, Lee and
12 Steve, I want to thank you very much. I'm struggling
13 to follow up on Walter's question on what the action
14 is. You know, this committee makes recommendations to
15 OSHA on regulations or matters of policy.

16 I think the idea or suggestion that Walter had
17 about getting together with the industry and coming up
18 with a consensus standard is something to consider.
19 That's not really something that we can officially
20 recommend.

21 I guess the question comes back to the folks
22 at OSHA in terms of what action you would like this

1 committee to take to support your work in this area, to
2 work with this group.

3 I don't know, Ben, if you can answer that.

4 I'm sorry, go ahead Dan.

5 MR. ZARLETTI: That's okay. The fact that
6 you're going to be working in the D.C. area for a
7 number of years to come with these five other tunnels,
8 I think this is going to approach itself on its own
9 pretty quickly.

10 MR. STIER: Yes, sir.

11 MR. ZARLETTI: I don't think you are going to
12 have any problem working with the Federal agency
13 because you are going to be approaching them to get
14 this work finished.

15 MR. DUTCHER: To be honest, the variance for
16 Blue Plains, Traylor has already had initial meetings
17 with the Federal OSHA offices right here.

18 This was an opportunity that was offered, and
19 Traylor as an organization appreciated the opportunity
20 to at least come and talk and put this out in front of
21 this esteemed organization.

22 We are going to continue to work with the

1 laborers, the operating engineers and such because this
2 is one of our livelihoods. This is one of the two
3 major functions of our contract work.

4 CHAIRMAN STAFFORD: First, I think, at least
5 for me this is more of an emerging issue kind of thing.
6 I appreciate being aware of it.

7 Maybe we will rely on OSHA after this meeting
8 to talk to them in terms of what kind of action in the
9 future they would like for this committee to take in
10 terms of recommending how we proceed.

11 MS. JOHNSON: Absolutely, we would love that;
12 exactly.

13 CHAIRMAN STAFFORD: Will you stay for a while?

14 MS. JOHNSON: Absolutely.

15 MR. GILLEN: One suggestion, I think, is since
16 Federal OSHA doesn't have the experience doing this,
17 they are probably going to call their state colleagues.
18 Maybe it's an opportunity to put together this variance
19 and do the process such that a blanket variance is
20 created as part of that process, since they have to do
21 it anyway for the Blue Plains Project.

22 Maybe bring some people together, have a few

1 meetings. Use that to create something that can be
2 used in the future, not just for this one project.

3 MS. JOHNSON: The State of Washington is going
4 to have to go through this whole process again, so that
5 hasn't even started.

6 They have had five in the past few years and
7 they are going to have to encounter it again coming up
8 in the next few months if they haven't already started
9 meetings now.

10 MR. BARE: Just to follow up a little bit, our
11 purpose today was to allow those folks to point to the
12 committee an emerging issue, let you know the facts,
13 and get some information about this.

14 At this point in time, we are not expecting a
15 particular recommendation from ACCSH unless something
16 comes forward.

17 As we get further into this, as OSHA gets
18 further into this and we may consider this for
19 rulemaking or some other activity, then we would
20 specifically call on the committee, I think, to make
21 recommendations around a certain aspect of this issue.

22 That's kind of how I see it.

1 CHAIRMAN STAFFORD: Thanks, Ben.

2 MR. DUTCHER: I honestly think that's what we
3 really anticipated at this particular meeting. We
4 appreciate this opportunity to make a case for
5 consideration.

6 MS. JOHNSON: Yes, thank you.

7 CHAIRMAN STAFFORD: Thank you, Anita, Lee and
8 Steve. Thank you very much for your time.

9 Ms. Shortall?

10 MS. SHORTALL: I'd like to enter into the
11 record as Exhibit No. 7, Tunnel Advances, PowerPoint,
12 presented by Anita Johnson, Seattle Tunnel and Rail
13 Team, START.

14 As Exhibit 8, Tunnel Advances, hard copy
15 handout's from START.

16 (Exhibits No. 7 and 8
17 were marked for
18 identification.)

19 CHAIRMAN STAFFORD: Thank you. Before we
20 proceed with the NIOSH presentation, Matt, did you have
21 a few words you wanted to say about the follow up on
22 the nail gun document discussion?

1 MR. GILLEN: Sure. I did bring copies for
2 everybody because it's the first ACCSH meeting since
3 this came out. I wanted to make sure everybody got a
4 copy.

5 I do think it's a good opportunity to look
6 back. This nail gun document did come out of ACCSH.
7 It came out of the work group. ACCSH formed a work
8 group chaired by Liz Arioto and Tom Kavicky of the
9 Carpenters. I think it's an example of ACCSH really
10 working pretty well.

11 That work group held different meetings and
12 those meetings allowed ACCSH to hear from Dr. Hester
13 Lipscomb, one of the researchers who has done a lot of
14 work in this area over ten years. Allowed them to hear
15 from contractors, from ISANTA, the International Staple
16 and Nail Tools Association, who was able to present
17 their views on these issues.

18 There was a motion of ACCSH that led to the
19 request to develop the guidance and other actions.

20 After that, NIOSH and OSHA worked together on
21 the publication. If you remember, a draft was brought
22 back to ACCSH and we used one of the work group

1 meetings to get individual ACCSH member feedback on
2 what the draft looked like.

3 A lot of people were involved working on this
4 to sort of come up with the publication. NIOSH and
5 OSHA again worked on it to sort of push it out, to get
6 it out to key contacts and make it more likely to get
7 it into the hands of contractors.

8 I think it is a good story of what ACCSH can
9 do, what ACCSH actions can lead to.

10 There are a lot of folks who have been
11 involved with this, from CPWR, supporting the research
12 from Dr. Lipscomb and other folks. Tom Trager and
13 Winchester Homes allowing us to take photo's, for
14 example.

15 It's a good example of the things we can do
16 and the role of ACCSH. I just wanted to make sure
17 everybody thought about that, and it gives us some
18 ideas for future things we might do in the committee.

19 CHAIRMAN STAFFORD: Yes, I appreciate that.
20 As Jim said this morning, those of us that are involved
21 in research and the push to move research to practice,
22 seeing these kind of co-branding materials jointly from

1 NIOSH and OSHA, although Jim mentioned this morning
2 there were some growing pains that went through that
3 process of co-branding with the two Federal agencies,
4 we certainly hope we can continue to do those types of
5 activities.

6 With that said, we have our friend and
7 colleague, Christine Branche here, who is the Director
8 of NIOSH's Office of Construction. Her and Matt, I
9 guess, together -- Christine, however you two want to
10 do it, provide us an update of what's going on at
11 NIOSH.

12 NIOSH UPDATE

13 MS. BRANCHE: Matt and I are going to share
14 with you activities from two of our key actions, two of
15 our key activities within the Construction Office, and
16 accordingly, within the NORA Construction Sector
17 Council.

18 It is interesting because several of you on
19 ACCSH as well as several people on the room are part of
20 our NORA Construction Sector Council, and we appreciate
21 all of your work.

22 Matt is going to talk first about our efforts

1 to integrate safety and health into green construction
2 rating systems. We have had quite a bit of success
3 recently and we're very excited to share this with you.

4 MR. GILLEN: All right. What I'm going to
5 cover is a bit of a recap on really the case for
6 integrating safety and health into green construction,
7 and then provide an update about what we are doing with
8 NORA, and then finish up by giving some examples of
9 some of the enhanced safety and health LEED credits
10 that we have developed.

11 I apologize for the choice of color, it
12 doesn't come out that well.

13 Basically, the reason we are doing this is
14 because these green building practices really are on
15 the increase worldwide. There is really like 25
16 different rating systems across the world now.

17 Sustainability right now in most definitions
18 and practices, they really don't include safety and
19 health when they talk about sustainability, but the
20 meaning of "sustainability" is evolving.

21 I think we can really make a strong case,
22 NIOSH folks and NORA, we feel a strong case can be made

1 that if we are going to be truly comprehensive thinking
2 about sustainability that really needs to include
3 safety and health. We have been thinking in that
4 direction.

5 Why should we focus on sustainable
6 construction? In one case, there are some new hazards.
7 In a lot of cases, it's mostly familiar ones.

8 Here's an example of a worker doing solar
9 installation. It's a fall hazard here the way the job
10 has been set up. It's kind of sad because this is
11 actually an innovative partnership, and this person is
12 learning the job, they are pretty much learning it in
13 an unsafe way.

14 You can't really hold on to the ladder
15 correctly doing that. In some cases, there are
16 familiar hazards. It is just it increases the exposure
17 to the hazards in what's going on with the green
18 practices.

19 Really, worker risks are not being routinely
20 considered when we develop green practices and
21 products, and that's part of the problem.

22 There is this rationale for the hazards and

1 concerns. The other rationale is opportunities. These
2 guidelines and rating systems really are encouraging
3 innovation and best practice. It's not about
4 compliance. It's about best practice.

5 Here's an example of Department of Energy
6 guidance on workforce guidelines for home energy
7 upgrades, when NIOSH and OSHA were able to provide
8 input and get safety and health mentioned along side
9 how do you do this, how to do it safely.

10 There are opportunities there. Those
11 opportunities give us leverage for us to advance safety
12 and health best practices, which I think is something
13 we all want to do.

14 There really should be this logical overlap
15 between environmental and occupational best practice
16 instead of a disconnect or them going in different
17 directions, which is kind of what the situation is now.

18 That in kind of a nutshell is kind of the case
19 for why we are pursuing this.

20 For NORA, one of our NORA goals involves
21 construction hazards prevention through design, and
22 there was a specific sub-goal that said hey, within

1 four to six years, let's develop methods to utilize the
2 U.S. Green Building Council LEED rating system to
3 implement, to get more prevention through design in
4 other activities.

5 Again, this is the most widely used in the
6 U.S. systems, and if you think about it, there is more
7 than 100,000 of these LEED APs, accredited
8 professionals.

9 Just think of the power if they are seeing,
10 oh, yeah, and when you are planning for this, you're
11 designing for this, you need to think about safety and
12 health. There is some real value to that.

13 Green construction was selected as one of the
14 NORA construction focus areas for 2011 and 2012. Dr.
15 Branche is going to address the other focus area as
16 well.

17 We put together a coordinating committee and
18 work groups, and here's the two co-chairs, Mike Beam
19 and Brian Kleiner, the co-chairs of that group.

20 Some of the activities, Dr. Howard met with
21 Rick Fedrizzi, he's the CEO and leader of the U.S.
22 Green Building Council, in February of 2011, to

1 initiate discussions, to talk about this link between
2 occupational safety and health and green construction.

3 Since that, the coordinating committee has
4 done some work. We went through all the 2009 LEED new
5 construction credits to do a credit by credit review,
6 which of them do address safety and health or which of
7 them impacts safety and health I should say.

8 We identified specific ones for what we call
9 "enhancement." In other words, if a particular credit
10 does perhaps involve the potential for more exposure to
11 a hazard, what language could we put in the credit
12 itself to address that.

13 We thought of that as an enhanced credit. We
14 developed the actual draft credit language. We put all
15 that in a report with six examples of enhanced credits,
16 and we provided that report to the U.S. Green Building
17 Council.

18 Since then, we have had two additional
19 meetings with them that have gone pretty well. I think
20 they are beginning to get it and understand this link
21 and how we have done it.

22 What we tried to do is we tried to research

1 how they do credits, and we tried to word all these
2 things using the way they described things and how they
3 think about things as well.

4 Again, when we evaluated the new construction
5 credits for safety and health, we divided them into
6 three categories, positive's, so these are credits that
7 actually could reduce construction and maintenance
8 worker exposures and risks if perhaps we added a little
9 more safety design and planning measures.

10 There are actually seven credits that we
11 thought actually could be good for workers,
12 construction and maintenance workers.

13 Negative, these are ones where if you didn't
14 do more additional safety design and planning measures,
15 they could act to increase exposures and risks to
16 construction and maintenance workers. We found 11
17 there.

18 The vast majority we saw as kind of neutral.
19 An example I like to give is the credit for bike
20 parking lots. It's probably neutral for safety and
21 health, things like that.

22 After we did that, we went and looked through

1 specific ones. We found, for example, indoor
2 environmental qualities. Day light would be the credit
3 that encourages people to use more sky lights, for
4 example. There is an example there, sky light safety.

5 Indoor air quality management, low emitting
6 materials. Construction waste management, the idea of
7 recycling more of the materials on the waste site -- on
8 the construction site.

9 Heat island effect is the actual credit that
10 involves green vegetative roofs.

11 These are some of the credits we chose to
12 focus in on in more detail and to develop language.

13 What we did when we developed the language is
14 again, the LEED credits themselves, we tried to match
15 the level of detail they used there. The LEED credits
16 really talk about what needs to be done. They don't
17 give all the details on how to do it. They focus more
18 on the design and planning as opposed to what the
19 construction contractor needs to do.

20 Reference guides are the back-up material that
21 do go into more detail on how to do it. We provided
22 reference guide draft materials as well for these

1 credits.

2 Here's an example of one. This is for heat
3 island effect, roof. What this is saying is the fact
4 that roofs heat up and can create more warming in
5 cities, et cetera, so they give people a credit if you
6 use one of these highly reflective roofs. They call
7 them "high objeto roofs." Instead of it being a dark
8 colored roof, it's white, so it reflects most of the
9 heat. That's something you can do.

10 You can do a vegetative roof where you
11 actually grow plants up there.

12 We added language that said develop and
13 implement a safe roof plan to prevent falls and other
14 hazards involved with making the roof and maintaining
15 it.

16 If you have one of these highly reflective
17 roofs, it's supposed to be cleaned on a regular basis
18 to keep it reflective, or the vegetative roof, somebody
19 has to go up on the roof to maintain the plants.

20 We want those workers to be safe and not be
21 exposed to falls.

22 The reference guide material gave additional

1 information about what would be in a safe roof plan.
2 You need structural integrity, fall prevention, safe
3 access to the roof, and there we provided different
4 options that people could consider, and how you
5 document that in the LEED system.

6 Just quickly, this gives you some ideas of
7 that.

8 Here's another example of a credit for
9 construction indoor air quality management, where in
10 some cases LEED is concerned about protecting the duct
11 work so it doesn't get exposed from construction dust
12 and things.

13 What we said here is a better approach is to
14 sort of reduce the amount of dust that's created by
15 using local exhaust ventilation or suppression methods,
16 so let's use those methods which protect the
17 construction workers more and would also protect the
18 duct work from getting contaminated as well.

19 We inserted examples of language in bold and
20 shared that with the U.S. Green Building Council.

21 Where we are now is it turns out that the 2009
22 LEED, which is the one that we looked at in the most

1 detail, is now actually in the process of evolving to
2 2012 LEED. That is both a good and a bad thing.

3 The good thing is LEED does evolve, so in the
4 future, we are anticipating that in the future, we will
5 have more credits with worker safety and health in
6 that.

7 In the short term, it means we sort of need to
8 go back and take what we have done and make sure it
9 matches up with the 2012 LEED.

10 For example, there is like 28 new credits and
11 new categories in 2012. We need to update the work we
12 did to address the 2012 version.

13 We have this preliminary report that we shared
14 with the Green Building Council. We'd like to update
15 it and then release it, post it on our website, et
16 cetera, so that more people can learn about this
17 approach as well.

18 We wanted to share with them first to get
19 feedback, make sure we were on the right track. That
20 was the rationale behind the preliminary approach.

21 We want to pursue additional strategies. The
22 LEED credit system has pilot credits where you can

1 create a new credit or enhanced credit. People get
2 innovation credits for trying the pilot credits. That
3 is how they learn about what new credits are
4 successful.

5 We would like to develop new safety and health
6 pilot credits. We would like to do some webinar for
7 LEED people, and we are going to continue our
8 discussions and outreach with the Green Building
9 Council on this topic.

10 We think it has a lot of potential. We see it
11 more of a long term commitment to make, to sort of
12 integrate safety and health into green construction,
13 and here's the green construction committee that has
14 been working with us in NORA, and our contact
15 information.

16 That's what we wanted to share with you to
17 bring you up to speed on that.

18 Any specific questions?

19 MR. RYAN: Matt, I just have one question.
20 You said like the 2012 version where they are adapting
21 new LEED credits, how often are they going to be doing
22 that?

1 MS. BRANCHE: I think this is the third
2 update, every two or three years, but this last
3 version, I think, has taken a lot longer. It's going
4 to take them almost through the end of 2012 to produce
5 the 2012 version.

6 One of the things they assured us of is the
7 fact that this pilot credit mechanism does allow new
8 and innovative and in our case worker safety issues to
9 be integrated and available to LEED users, even if it
10 isn't in the actual manual.

11 MS. DAVIS: Great work. I wanted to get some
12 clarification on this pilot credit issue because
13 clearly although credits you have recommended already
14 have not been adopted, so is it possible those could be
15 pilot credits in this next stage, or are you aiming or
16 hoping to actually see the recommended credits adopted
17 and add more?

18 MR. GILLEN: We have a few that were very
19 minor wording changes, that the Green Building Council
20 is open to incorporating them in 2012.

21 We have to make the conversion from the 2009
22 wording to 2012 and see if that still holds up. If so,

1 that could give us some short term gains.

2 The others, we would probably consider the
3 pilot credit approach is the best way to do it.

4 MS. BRANCHE: I think one of the real benefits
5 in the approach that we're making is the people with
6 whom we have been meeting have -- I think they have
7 kind of got religion now. They see this as an
8 opportunity and working with them in stages.

9 Matt mentioned in one of the last slides the
10 fact that we're looking at the pilot credits, webinar's
11 and some other things. This is a way to help educate
12 and bring along their stakeholders to understand how
13 important this integration is.

14 I think that's important. Would it have been
15 good for us to have seen a full integration of all of
16 the information that we would want to see in each
17 credit? That would have been terrific. If it would
18 have caused a back lash among their stakeholders, then
19 it would have been one step forward, two steps back.

20 I think with this pace that they have asked us
21 to take, it's a balance between the fact that they have
22 public comment periods, the last opportunity for public

1 comment, and the fact that they want to be able to use
2 as many different mechanisms as possible to educate
3 their stakeholders.

4 I think this is a win no matter what. So many
5 of our colleagues in other departments and in other
6 organizations have tried to approach the U.S. Green
7 Building Council and have met with no success at all.

8 Not only did we have a successful meeting with
9 them, but we were invited to do this integration, offer
10 our observations, and they have essentially taken them.
11 It may not be in exactly the form that we want them,
12 but they are taking them in one shape or another. I
13 think that is really a boom for all of us.

14 CHAIRMAN STAFFORD: I concur, it really is
15 great. When we first started this process a few years
16 ago to think that we could get USBGC to even consider
17 this was really an up hill battle.

18 You two and the work group and Dr. Howard for
19 taking this on, really, this is great strides forward.
20 We all appreciate that.

21 MS. BRANCHE: The other issue I'm going to
22 share with you, as Matt said, we have taken two areas

1 from our NORA Construction Sector Council, and among
2 our 15 goals, on which to focus.

3 We have been really busy. I'm quite pleased
4 to tell you about a planning effort for a national
5 campaign to prevent falls and fatalities.

6 As Matt said, this integration was taking
7 shape within our goal 13. I am going to be talking
8 about the one that deals with our efforts to reduce
9 falls among construction workers.

10 Why do we focus on falls? A couple of
11 reasons. First, for roofs, scaffolds and ladders,
12 these three issues combined count for roughly
13 two-thirds of all fatal falls in construction. They
14 represent very different problems.

15 For example, in roofing, the key problem is
16 not having or not using fall prevention. For ladders,
17 we have problems that include using the wrong ladder,
18 using a defective ladder, or not using the ladder
19 correctly.

20 For scaffolds, the issues include incorrect
21 assembly, and then hazards during assembling and
22 disassembling the equipment or the scaffolding.

1 The data I want to show you here, and I
2 apologize, you can't see there are two different
3 shadings in color.

4 There is a lighter one for younger workers. I
5 apologize this is not depicted very well in this slide
6 projected here.

7 This is types of fatal falls. I apologize. I
8 did not select what the category "Older" stands for.
9 That is not my nomenclature.

10 (Laughter.)

11 MS. BRANCHE: "Older" is 55 or more years.
12 "Younger" is 15 to 54.

13 What I do want you to see is the orientation.
14 For roofs, ladders and scaffolds, for the years 2003 to
15 2008, the largest numbers or percentages of fatal falls
16 occurred in those three areas.

17 These data or this particular analysis is from
18 Dr. Sue Dong at CPWR's Data Center.

19 As well, when you look at the most frequently
20 cited serious violations in construction, fall
21 protection, portable ladders, and another kind of fall
22 protection issue, these are where you are seeing the

1 large number of citations.

2 We have data to support why we would want to
3 focus on falls.

4 Just as we did for the integration of worker
5 safety and health issues into the rating systems in
6 construction, for green construction and sustainability
7 construction, we formed a work group as well, or a
8 coordinating committee as well, for this particular
9 issue.

10 Scott Schneider, Dr. Janie Gittelman at CPWR,
11 lead that effort, and for a time, before he retired,
12 Tom Broderick at the Construction Safety Council also
13 participated in leadership here.

14 That group decided to form working groups that
15 focused separately on falls from roofs, scaffolds and
16 ladders. Each of those working groups was made up of
17 people from the NORA Sector Council, but we also
18 involved subject matter experts from organizations,
19 whether it was union groups, trade associations, or
20 employer groups.

21 We wanted to get as many subject matter
22 experts involved in this as possible.

1 The groups examined relevant data, identified
2 existing materials, including existing campaigns,
3 regardless of whether they were local or regional in
4 nature.

5 We were also able to get information from
6 subject matter experts in the U.K. and the European
7 Union, and where we could, we were able to get
8 information, including existing campaigns from them and
9 Canada as well.

10 We wanted to identify target behaviors that
11 needed attention, and then also look at target
12 audiences.

13 Why would we go through all this effort and
14 why do we think that a campaign is important?

15 Much of what we do in safety and health issues
16 in construction has to do with consultations or
17 enforcement of regulation. That is not to say that a
18 campaign would set aside that, it's not to diminish the
19 importance of those activities.

20 The idea of putting together a campaign is to
21 add to our arsenal of tools to deal with this issue.

22 This is something that the coordinating

1 committee in particular but the NORA Sector Council
2 more generally thought was important, and we thought
3 the timing was right.

4 Resources have been a challenge. We met with
5 OSHA to be able to update them on our progress. There
6 was no commitment made by them initially, but we
7 thought that first of all -- they are represented on
8 the NORA Sector Council -- in meeting with the OSHA
9 leadership here, it was important for them to
10 understand why we thought it was important and would
11 they want to play a role.

12 We certainly understand that any effort that
13 would take place, whether a campaign that we think is
14 important, whether it would happen on a local, regional
15 or national basis, it couldn't be divorced from the
16 enforcement that OSHA is key for.

17 It turns out that in October, and I understand
18 Mr. Maddux did share some of this with you this
19 morning, when we met with them in October, they told us
20 they decided to use the Spring/Summer of 2012 as the
21 time to focus on a fall prevention campaign in
22 construction, and they would put the resources towards

1 that.

2 All of the effort -- my understanding is all
3 the effort that OSHA put into the heat stress campaign
4 for 2011, they would now apply towards fall prevention
5 in construction.

6 Another time of rejoicing was had on the other
7 side of the Capitol.

8 We have been working with a social marketing
9 expert. The reason why I think this is important is
10 it's interesting, in talking with Scott and Janie and
11 all of our colleagues who have been working in this, we
12 certainly have an idea with an eye to health and safety
13 of what might work.

14 It's important to understand and bear in mind
15 the target audience, and what would work for them.

16 It's interesting also to conceive of if you
17 have a soap manufacturer, they conduct focus groups all
18 the time. There is a reason why you buy the soap you
19 buy. There is a reason why something is very
20 interesting to you as a person making a purchase.

21 With that kind of effort in mind, we thought
22 it was important to bring on a social marketing expert.

1 They prepared an environmental scan that
2 covered 74 different campaigns from the United States,
3 Canada, the U.K., and the European Union.

4 They prepared a plan for focus group research.
5 I have been able to harness some resources for the
6 environmental scan, and at least the plan.

7 When OSHA told us in October they wanted to
8 move forward deliberately, then we had to execute that
9 plan, and with Pete's decision and his being at the
10 helm of CPWR, CPWR provided the resources for us to go
11 forward into focus group mode.

12 Amazingly, our social marketing expert did not
13 fall over when I told her about our time line because
14 we need to have our information to OSHA by the end of
15 January with sample plans, a sample campaign, sample
16 campaign theme, sample tag lines, sample images, to
17 jointly make a decision for OSHA to move forward.

18 In two weeks' time, after Thanksgiving, just
19 last week, that two week window, our social marketing
20 group completed 11 focus groups in three cities. The
21 primary target audience is small construction
22 contractors, primarily residential. Secondarily,

1 supervisors and foremen. The tertiary audience is
2 workers, including Spanish speakers.

3 Among the focus groups, we did have some that
4 were solely Spanish speaking, and then small
5 construction contractors, foremen and supervisors were
6 in one type of focus group, workers were in a separate
7 one.

8 They identified attitudes and concerns in the
9 focus groups. They tested out themes and materials,
10 and one of the key aims was to learn what channels were
11 best for people to learn the information or to absorb
12 information, and then to help us focus on messages that
13 would be appropriate for changing behavior.

14 The coordinating committee will work with the
15 social marketing expert and OSHA to draft the materials
16 that we will present to them.

17 We will also prepare an evaluation scheme.
18 What has been very interesting about the campaigns that
19 we have seen is not all of them have been evaluated, so
20 it's difficult to understand how well they have made an
21 impact in the populations that they targeted.

22 We will also prepare outreach approaches to

1 supplement what OSHA plans to do. Scott and Janie have
2 talked about, for example, this is just something as a
3 sketch, there's no commitment here, but we have talked
4 about even helmet tags and so forth, helmet stickers,
5 something like that.

6 I'm going to show you some samples or some
7 examples of some of the information that was shared in
8 the focus groups and that were drawn from the
9 environmental scan.

10 In this example of a falls campaign, it
11 focused on ladders. It compares the right and wrong
12 kind of work atmosphere. There is quite a bit of
13 debris in the area, around the ladder on the left, and
14 a clear area on the right.

15 In this other example from New York City, it's
16 a focus on the family. You want to execute safety and
17 health issues because you want to be able to come home
18 to your family.

19 In another one from New York City, that was
20 just this past Summer, experience is not enough was
21 their campaign theme.

22 In this last one or next to last example I'm

1 going to show you, they have used cartoon images, like
2 humor. You will recall that yesterday afternoon in the
3 Diversity Multilingual and Womens' Issues work group
4 meeting, we talked about the fact that this kind of
5 imagery wasn't -- you all didn't think was very
6 successful, and many of you expressed concerns about
7 that.

8 The one thing I can tell you from the focus
9 groups we have conducted, we have one more city to do,
10 this didn't go over very well with people either.

11 The idea of cartoon images or super hero, that
12 has now been taken out of play.

13 I'm glad the social marketing group wanted to
14 use as many different types of images. It isn't what
15 we want. It is what they are going to respond to.

16 The last one I'm going to show you as an
17 example is from the U.K. It's from what they call
18 their Shattered Lives campaign. The tag line here is
19 "Simple Mistakes Can Shatter Lives."

20 They have a rather abrupt imagery here. You
21 can see the head is shattering at the bottom of the
22 image that's projected here.

1 What we are looking at producing is a campaign
2 that is national in scope with a launch on Workers'
3 Memorial Day next year. It will cover the Spring and
4 Summer of 2012.

5 A goal of the campaign would be reducing
6 injuries and fatalities from falls in construction, and
7 we are looking at roofs, scaffolds and ladders as the
8 fall issues that we want to address.

9 Aside from informing you of our activities, I
10 want to make certain that you understand that as
11 members of ACCSH, we would want you and the
12 organizations that you represent to help us prepare for
13 the launch.

14 We would want you to help get the word out
15 just as so many of you did for the heat stress campaign
16 last year. We would want that same arsenal of effort
17 for this particular activity.

18 Another issue that's important is we keep the
19 message going after the launch. Workers' Memorial Day
20 and the build up for it will be important, but the
21 issue is evergreen for this trade, for this sector.

22 We would want the messages about fall

1 prevention to have a life well beyond the launch, well
2 beyond Summer of 2012.

3 We are going to need your assistance in doing
4 that.

5 So, I'll take your questions. If you don't
6 think of them today, you can certainly contact Scott,
7 Janie or me with your volunteering efforts and any
8 questions you might have.

9 CHAIRMAN STAFFORD: Thanks, Christine. Liz?

10 MS. ARIOTO: Why is this limited to
11 residential? Is there a reason for that?

12 MS. BRANCHE: Fall prevention activities for
13 large construction actually tends to occur. Big
14 construction contractors tend to practice and make
15 available fall prevention. It's the residential
16 construction, the weekend person that will come by and
17 do a roof repair at your house, that is where we are
18 finding that fall prevention -- it's not made
19 available. They're not told. There's no information
20 to let them know you need to follow along with these
21 OSHA guidelines just like anybody else.

22 CHAIRMAN STAFFORD: Christine, we had this

1 discussion in the work group yesterday about the heat
2 stress campaign. I'm assuming as part of OSHA's deal
3 that there is an arrangement in getting the materials
4 out, that those materials will be pushed out to all the
5 state plan states. That is kind of their obligation.

6 I think you raised this yesterday, Chuck,
7 about not getting some of the information or the
8 educational materials that came out.

9 MS. BRANCHE: The educational materials,
10 interestingly enough, were provided by the CAL OSHA
11 campaign that OSHA duplicated.

12 As we work with our coordinating committee and
13 with the social marketing expert, if there are
14 educational materials that we believe are going to be
15 an important adjunct, based on my participation in
16 yesterday's meeting, work group meeting, I think it
17 will be important for us to make certain that kind of
18 information is distributed much more widely than what
19 we saw for the heat stress campaign.

20 CHAIRMAN STAFFORD: Good. Chuck?

21 MR. STRIBLING: As great as the heat stress
22 campaign was, it was fantastic, and I told you

1 yesterday some of the efforts we undertook in the
2 Commonwealth of Kentucky.

3 The states didn't know about that. Steve,
4 correct me if I'm wrong.

5 CHAIRMAN STAFFORD: He stepped out.

6 MR. STRIBLING: We didn't know about that
7 until after the fact. We didn't get a head's up that
8 the campaign was coming.

9 Consequently, our implementation of putting
10 people in the field to spread the message, it took us a
11 while to ramp up. We did the press releases. We did
12 the quick stuff.

13 On this, I didn't want to go that route and
14 having worked on the Construction Sector Council and
15 being aware of the upcoming campaign, I extended an
16 invitation to Dr. Branche and a few others -- Kentucky
17 is hosting the next OSHBA meeting in February.

18 I have asked them to come to the OSHBA meeting
19 and share this information so the states know it's
20 coming, and can actually begin thinking about some type
21 of implementation and dissemination plan to get the
22 word out in their states.

1 I know OSHA will send us the information in
2 the final product.

3 I promise you the state plans are going to
4 know about this campaign before it hits the street. I
5 anticipate -- like I said before, every state has a
6 consultation division. I am very confident all the
7 states will take this and run. Falls is certainly
8 something every state wants to drive down the rates on.

9 CHAIRMAN STAFFORD: Thank you.

10 MS. BRANCHE: If I may in response to that,
11 first of all, Scott Schneider will be the person who
12 will be making the presentation at that meeting. We
13 really appreciate Chuck extending that.

14 The other thing is in all deference to OSHA, I
15 think the idea of the heat stress campaign, as I
16 understand it, was an idea or they made the decision
17 rather late.

18 One of the reasons why we have such a pressing
19 deadline is because they want to get the information
20 prepared well in advance so we can get information out
21 in a much more organized fashion so that you are not
22 left in a rush.

1 MS. DAVIS: I just want to reiterate the point
2 of getting information out early to your partners and
3 in particular, I'm thinking about your state based
4 partners.

5 We had a national meeting last week and this
6 wasn't mentioned to anybody. State based partners, we
7 are in a position actually to generate additional
8 materials and data that can be used to kind of drive
9 local initiatives.

10 I think it's really crucial to have this
11 engagement early on with partners.

12 A question that I have, and I think this is
13 distinct from the water, rest, shade, the kind of
14 technical aspects of implementing fall prevention,
15 especially dealing with the three leading causes, that
16 are much more complicated than water, rest and shade.

17 Clearly, I can envision an awareness campaign,
18 but where the back-up, the really user friendly back-up
19 materials, about what to do once you're aware, what are
20 those going to be and where?

21 I know the home builders have a fall
22 prevention guide. CPWR has a fall prevention guide.

1 There is this whole issue of using other people's
2 materials.

3 Are NIOSH and OSHA going to create their own
4 kind of back-up technical education, user friendly
5 back-up material?

6 Is there a way you can draw on partners using
7 the materials they already have? I'm just raising this
8 question because I see it as more complex.

9 MR. STRIBLING: Not to speak for NIOSH or OSHA
10 but to speak for -- one of the reasons we wanted to
11 bring this up at OSHBA in February is for that reason.

12 If we do the awareness, what's the next step?
13 How are we going to get the technical information in
14 their hands, so every state has the opportunity to
15 pre-plan and prepare.

16 Hopefully, you're going to get a lot of phone
17 calls and you're going to get a lot of people calling
18 and saying come help us.

19 We have to have that material ready as well.

20 CHAIRMAN STAFFORD: For those of you not
21 familiar with Workers' Memorial Day, it will be on
22 April 28, 2012. That will be the official launch date.

1 Is it backing into that date, is there like a set time
2 when materials will be prepared and ready to
3 disseminate out?

4 I know this is very quick turn around, the
5 whole thing.

6 MS. BRANCHE: Very quick. Aside from the fact
7 that we have a production schedule to honor, some of
8 the activities that I only sketched out for the
9 coordinating committee that remains after we meet
10 OSHA's deadline to get the materials that are going to
11 be mass produced, is to do some of what Tish, you have
12 been suggesting, and Chuck, what you have been
13 suggesting.

14 One of the things that has been very helpful
15 is that there are quite a number of pieces of
16 information available that rather than having to
17 produce them afresh, we can pull them in because they
18 are already ready.

19 One of the things that our social marketing
20 group told us that I think is interesting is that
21 despite the availability of so many materials that are
22 helpful, no one knows about them.

1 In all of the 11 focus groups, no one had
2 heard of any of them. This is an opportunity to shed
3 fresh light on materials that various organizations,
4 either that you represent, that are here in the room or
5 among the broader stakeholder community, that's great.
6 I'm not interested in reinventing the wheel, and
7 frankly, we don't have the resources to do that.

8 To be able to draw on materials that are
9 already available, I think, is a very critical step. I
10 think it helps to draw in the involvement of the
11 stakeholder community, which is broad for these three
12 areas, ladders, scaffolds and roofs.

13 CHAIRMAN STAFFORD: Any other questions or
14 comments? Mike?

15 MR. THIBODEAUX: Dr. Michaels gave us a list
16 of some things or maybe Jim did, a list of some things
17 that they already have available, talking about
18 residential fall protection guidance, PowerPoint
19 presentations and the California presentation that is
20 also going to be done in Spanish.

21 NEHB and OSHA has done an alliance and has a
22 four hour presentation which could probably be

1 compressed on residential fall protection in all of
2 these areas that you are talking about.

3 I think we have done almost 50 presentations
4 around the country on just the fall protection portion
5 of it. We probably need to do it a lot more.

6 I think this Spring and Summer push is one
7 area that we as an organization as well as the state
8 plans could probably use this a lot more than what we
9 have been able to do over the last year or so.

10 MS. BRANCHE: That is my hope, that by virtue
11 of there being so many different organizations that
12 have materials available, this is a way for them to use
13 this, the over arching nature of this campaign, to shed
14 light on materials that they already have that can be
15 re-promoted among their stakeholders.

16 I'm very excited about the fact there are so
17 many materials that are available that we can pull into
18 this campaign.

19 CHAIRMAN STAFFORD: Scott?

20 MR. SCHNEIDER: I want to thank Christine for
21 a great presentation.

22 We looked at this issue. If you look at the

1 data on falls in construction, residential falls in
2 particular, and they ask why is this residential. More
3 often than not, it is because there was no fall
4 protection available at all.

5 I think the campaign decided to focus on
6 contractors as the primary audience as opposed to
7 workers, and the goal was really to sort of motivate
8 contractors to provide fall protection.

9 Once they are motivated, there are a lot of
10 places for them to go to get the information on how to
11 do it.

12 We will be able to direct people to that or go
13 to OSHA Consultation or NEHB.

14 The Roofing Contractors Association says we're
15 frustrated because we feel like there could be a lot
16 more people using our materials, but we don't get the
17 calls.

18 I think we are trying to generate interest and
19 motivation. That is really the main goal. We are
20 looking at different kinds of messages, how do you
21 motivate people.

22 The focus groups so far, what we have learned

1 of them, it is eye opening, and we asked them how do
2 you get information, all these issues.

3 Hopefully, if we can crack this problem, how
4 to reach small contractors who aren't aware of these
5 materials, this will be very helpful for other
6 campaigns or getting other information about safety and
7 health issues out to people.

8 MR. GILLEN: That is what I find interesting.
9 In some respects, we have the technical materials.
10 Sometimes what there is a shortage of is the materials
11 that motivate people to want to use them.

12 MS. DAVIS: I was speaking to the issue of the
13 barrier that links to certain materials are not
14 possible by Government agencies because materials come
15 from different interests. How are you going to
16 overcome that. I don't expect you to answer me right
17 now.

18 MS. BRANCHE: I would say my understanding is
19 as a regulatory agency, there are certain things that
20 OSHA can't point to, but we as a research entity can,
21 and then because we have such a broad stakeholder
22 engagement in helping to plan this, where the

1 Government would be prevented from pointing
2 specifically to certain information, our stakeholders
3 can.

4 CHAIRMAN STAFFORD: Thank you. Sarah?

5 MS. SHORTALL: I have a question about the
6 materials. Increasingly or there is an increasing
7 number of small construction contractors who the
8 contractor themselves does not primarily speak English.

9 Are you going to be gearing any of the
10 materials to those contractors? If so, what languages?

11 MS. BRANCHE: First, I would say absolutely.
12 I would say Spanish first. We had a very specific
13 reason for having several of the focus groups be for
14 Spanish speakers only, because we realized how critical
15 that is for this industry.

16 There are other languages that I think we will
17 have to consider, but I think we want to get past this
18 first hump.

19 This is absolutely fascinating. The deadline,
20 I thought, was unobtainable, but it's been amazing how
21 people have risen to the occasion. It's really a
22 wonderful display of a public/private partnership in

1 action. I can't speak more highly of our colleagues
2 than this.

3 CHAIRMAN STAFFORD: Thank you, Christine and
4 Matt. Sarah, any administrative issues before we
5 adjourn for lunch?

6 MS. SHORTALL: Yes. I'd like to enter a
7 couple more exhibits into the record.

8 I'd like to enter into the record as Exhibit 9
9 Nail Gun Safety, A Guide for Construction Contractors,
10 a joint publication of OSHA and NIOSH, which is OSHA
11 Publication No. 3459-8-11.

12 As Exhibit 10, Integrating Safety and Health
13 into Green Construction, a PowerPoint presented by Matt
14 Gillen of NIOSH.

15 As Exhibit No. 11, Preventing Falls in
16 Construction, Planning a National Campaign, a
17 PowerPoint presented by Dr. Christine Branche, NIOSH.

18 (Exhibits No. 9, 10 and
19 11 were marked for
20 identification.)

21 //

22 //

1 CHAIRMAN STAFFORD: Okay. Thank you. Any
2 other comments or questions?

3 (No response.)

4 CHAIRMAN STAFFORD: We will adjourn for lunch.

5 (A luncheon recess was taken.)

6

7

8

9

10

11

12

13

14

15

16

17

18

19

20

21

22

1 A F T E R N O O N S E S S I O N

2 CHAIRMAN STAFFORD: I am going to bring the
3 meeting back to order, please. Thank you. Good
4 afternoon, everyone.

5 Let's start our afternoon agenda. We are
6 going to start with our first work group report of the
7 afternoon. As you heard Dr. Michaels say, even though
8 there are some hurdles in the timing on the I2P2 or the
9 program standard, it remains the Agency's number one
10 priority.

11 Obviously, it's very important that ACCSH have
12 a work group to continue to hammer out the issues on
13 the program standard and how we deal with the program
14 standard with the specific nature of the construction
15 industry, our multi-employer sites.

16 With that, I'd like to turn it over to our
17 I2P2 work group co-chairs, Tish Davis and Matt Gillen,
18 for the work group report.

19 INJURY AND ILLNESS WORK GROUP REPORT

20 MS. DAVIS: Thanks. These minutes are
21 somewhat longer than I anticipated, so bear with me.

22 The meeting was called to order by work group

1 co-chairs Matt Gillen and Tish Davis. There were 32
2 attendees. Following introductions, I gave a brief
3 recap of the minutes from the July 2011 work group
4 meeting. This was followed by presentations about the
5 experience with the illness and injury prevention
6 program rule in California.

7 Steve Rank provided information about the CAL
8 OSHA illness and injury prevention program standard.
9 This rule, which went into effect in 1991, requires all
10 employers to develop and maintain an effective illness
11 and injury prevention program, and list key employee
12 health and safety responsibilities.

13 Mr. Rank reported that the experience in
14 California has been very positive. The rule is simple
15 and straightforward. It is well received by employers
16 and has been effective in getting everyone on the same
17 page.

18 It is also a good proactive tool, and has been
19 useful to employers in demonstrating good faith to
20 OSHA. It is also being used in the bidding process
21 where contractors are asked to submit copies of their
22 written programs.

1 The rule applies to all employers regardless
2 of employer size, and CAL OSHA has done much to assist
3 small employers. It has a well received website with
4 information and model illness and injury prevention
5 program templates. The material compiles relevant
6 information from a variety of different health and
7 safety standards, which employers find very helpful.

8 An example of those materials were
9 disseminated and are submitted for the record.

10 CAL OSHA Consultation is also available to
11 assist small employers in developing their programs.

12 In response to questioning, Mr. Rank reported
13 that the program is more than a paper exercise and CAL
14 OSHA in conducting inspections is serious about making
15 sure the elements in the program are not just on paper
16 but in place.

17 There was subsequent discussion about the
18 importance of training OSHA COSHOs about how to assess
19 compliance should an I2P2 program be promulgated.

20 It was noted, however, that other standards do
21 have program requirements, and COSHOs are experienced
22 in assessing these programs. For example, the

1 respirator programs.

2 There was further discussion about whether
3 employers could be cited for the same violation on both
4 a program standard and a specific standard. State OSHA
5 representatives at the meeting reported this is not
6 done.

7 ACCSH member Liz Arioto provided background
8 information about the California injury and illness
9 prevention program rule and the experience in
10 California. There was initially substantial resistance
11 to the rule, concern it would not be useful. Over
12 time, however, she found that it does work and believes
13 safety has improved as a result.

14 While it may be only a paper exercise only for
15 some employers, it is truly helpful to contractors that
16 care.

17 Both Mr. Rank and Ms. Arioto emphasized that
18 the simplicity of the California program standard
19 contributed to its success.

20 There was a request from the audience for
21 information on whether the I2P2 -- the illness and
22 injury program of California has led to a decline in

1 injuries. Mr. Rank reported that there has been a
2 decline in citations issues per inspection.

3 Apparently, there has been some work by Rand
4 examining this question and the ACCSH members agreed
5 that would be useful to have a presentation on the
6 results of this and any similar studies at the next
7 work group meeting.

8 In response to a question as to whether
9 Workers' Compensation insurance reduced premiums if
10 illness and injury prevention programs were in place, a
11 respondent from the insurance industry in the audience
12 reported that Workers' Compensation rates are based on
13 experience.

14 However, he and others agreed that the
15 presence of a program is considered by underwriters in
16 conducting risk assessments of client companies.

17 When asked if CAL OSHA had a standard metric
18 for evaluating the effectiveness of their illness and
19 injury prevention programs such as OSHA Form 33 used to
20 evaluate VPP companies, Mr. Rank reported that CAL OSHA
21 uses checklists in determining if programs meet the
22 standard.

1 The next speaker, Victoria Bor, an attorney
2 for the Building and Construction Trades Department,
3 shared perspectives of the I2P2 subcommittee of their
4 health and safety committee.

5 The subcommittee has been grappling with the
6 issue of how to implement effective health and safety
7 programs on multi-employer construction sites. They
8 believe it is not sufficient to simply have the overall
9 contractor checking to see if subcontractors have
10 programs.

11 It is critical to address the dynamics of the
12 construction industry in developing a program standard.
13 These dynamics post challenges and it is important that
14 industry and labor work together to identify solutions.

15 The building and construction trade
16 subcommittee offered a set of guiding principles to
17 inform development of a program standard.

18 Given the dynamic nature of the construction
19 work site in which the environment is constantly
20 changing and risks to workers depend not only on their
21 work but what is going around them.

22 Here are the principles. Health and safety

1 programs must operate on two levels, employer based and
2 site-wide. Must be responsive to the dynamic nature of
3 the work site, i.e., involve hazard analysis as part of
4 pre-job planning and on an ongoing basis to identify
5 new hazards as work progresses, and must include
6 systems for clear, open and consistent communication.

7 Discussion followed with some examples
8 provided of approaches fostering effective
9 communication on multi-employer sites.

10 Next, Mark Hageman of OSHA's Directorate of
11 Construction -- I believe --

12 COMMITTEE MEMBER: Standards.

13 MS. DAVIS: We have to correct that. Provided
14 a brief update on the status of the I2P2 rulemaking.
15 He reported that it is still a top OSHA priority, that
16 they are close to completing the regulatory feasibility
17 analysis and moving forward with the process.

18 When asked if the proposal will follow the
19 California model, which really focuses on individual
20 employer plans or the multi-level model discussed by
21 Vicky Bor, Mr. Hageman responded that a draft standard
22 will have some multi-employer components.

1 It was agreed that the work group needs to
2 learn more from others about experiences establishing
3 programs on multi-employer sites. The co-chairs asked
4 ACCSH members and those present to identify and forward
5 names of potential speakers who could share information
6 about effective practices at the next work group
7 meeting.

8 It was also suggested that OSHA identify
9 potential speakers from employers participating in VPP
10 and SHARP.

11 The meeting adjourned at noon.

12 CHAIRMAN STAFFORD: Thank you, Tish. Matt, do
13 you have anything to add?

14 MR. GILLEN: No.

15 CHAIRMAN STAFFORD: Thank you. We have heard
16 the work group report. We need to entertain a motion
17 from the committee to approve the work group report.

18 M O T I O N

19 COMMITTEE MEMBER: So moved.

20 CHAIRMAN STAFFORD: Second?

21 COMMITTEE MEMBER: Second.

22 CHAIRMAN STAFFORD: The motion has been made

1 and seconded. Any discussion?

2 (No response.)

3 CHAIRMAN STAFFORD: Hearing none, all those in
4 favor of accepting the work group report, signify by
5 saying aye.

6 (Chorus of ayes.)

7 CHAIRMAN STAFFORD: Opposed?

8 (No response.)

9 CHAIRMAN STAFFORD: Were there any specific
10 motions or recommendations resulting from the work
11 group that you would like to bring to the committee for
12 consideration?

13 MR. GILLEN: I wanted to suggest one. I
14 thought the discussion about the guiding principles and
15 all was helpful. I generally find guiding principles
16 helpful at the very beginning of a process to think
17 about things.

18 M O T I O N

19 I wanted to mention a motion, and it would be
20 ACCSH recommends that OSHA consider three basic
21 principles for developing an I2P2 proposal to
22 effectively address multi-employer construction work

1 places.

2 One, safety and health programs must be able
3 to operate at two levels, employer based and site-wide.
4 Two, safety and health programs must be responsive to
5 the dynamic nature of the construction work site, and
6 three, safety and health programs must include systems
7 for clear, open and consistent communication.

8 It seems like those are basic but important
9 points that might help guide OSHA in thinking about
10 I2P2 and how it would work for construction.

11 CHAIRMAN STAFFORD: It certainly seems like
12 that was the consensus we had from the work group and
13 the participants yesterday.

14 The motion has been made. You're asking the
15 full ACCSH to recommend to OSHA these guiding
16 principles be adopted or considered as the rulemaking
17 proceeds.

18 We have a motion. Is there a second?

19 COMMITTEE MEMBER: Second.

20 CHAIRMAN STAFFORD: We have a motion and a
21 second. Any discussion?

22 (No response.)

1 CHAIRMAN STAFFORD: Hearing none, all those in
2 favor, signify by saying aye.

3 (Chorus of ayes.)

4 CHAIRMAN STAFFORD: Opposed?

5 (No response.)

6 CHAIRMAN STAFFORD: Okay. Sarah, that motion
7 has been approved unanimously by the committee. Is it
8 appropriate for us now to make a motion and second to
9 recommend to the Agency from the full committee?

10 MS. SHORTALL: You just did.

11 CHAIRMAN STAFFORD: I thought we made a motion
12 to accept it as ACCSH, the body. Okay.

13 MS. SHORTALL: The motion was that ACCSH
14 recommends that OSHA consider. That is your
15 recommendation to OSHA.

16 CHAIRMAN STAFFORD: Perfect. Thank you.
17 Anything else on I2P2?

18 (No response.)

19 CHAIRMAN STAFFORD: Great.

20 MS. SHORTALL: I'd like to enter into the
21 record the approved I2P2 work group report from the
22 December 14 meeting as Exhibit 12.

1 (Exhibit No. 12 was
2 marked for
3 identification.)

4 As Exhibit 12A, the California OSHA workplace
5 injury and illness prevention model program.

6 CHAIRMAN STAFFORD: Thank you. At the
7 beginning of the meeting, we had to be semi-flexible.
8 If you look at our agenda now, we are ready for a
9 break. I think we should go ahead and proceed with the
10 agenda. There is no law against if we get through this
11 afternoon's agenda and we adjourn, that we cannot
12 adjourn earlier than 3:30 or 4:00.

13 I would like to remind everyone that's new in
14 the room that if you want an opportunity to make public
15 comment, we are going to do that at the end of the day
16 and at the end of tomorrow's session.

17 If you are interested in commenting, please
18 sign up on the sign up sheet, which is in the back of
19 the room.

20 We will move forward and do the prevention
21 through design work group report. That will be Walter
22 Jones and Matt Gillen.

1 PREVENTION THROUGH DESIGN WORK GROUP REPORT

2 MR. JONES: Thank you. I don't have my other
3 co-chair on here, and I apologize for that. I was just
4 typing these up and realized I don't have Mike
5 Thibodeaux as the other co-chair on that.

6 We met yesterday. The meeting began with self
7 introductions. I don't know if I'm going to read
8 through the whole thing. I'm just going to try to give
9 a gist of what we pretty much went over.

10 I did a presentation on what prevention
11 through design means. A lot of times, it's a concept
12 that is not fully characterized often, and folks just
13 nod their head as understanding it.

14 I wanted to explain to folks that basically
15 it's about project designs, avoiding unnecessary
16 foreseeable risk to workers, and that designs include
17 information about aspects of the project that may
18 affect the health and safety of workers.

19 Studies have shown that 20 to 40 percent of
20 construction fatalities can be attributed to design.
21 It is also shown that the best opportunity to apply the
22 full weight of the hierarchy of controls to address

1 work site hazards is at the front end during the design
2 phase of projects.

3 Currently, the responsibility for construction
4 safety and health is at the back end on contractors and
5 employees, where they only have administrative
6 procedures and personal protective equipment as
7 available choices for protection.

8 In the presentation, we provided -- a lot of
9 times, prevention through design can be such a nebulous
10 concept, we tried to provide some concrete examples of
11 what it means by prevention through design.

12 The number one way folks can understand it
13 easily is built in anchor systems. Anchor systems that
14 are designed right into the plans, and then built in.
15 They can be used during the construction process as
16 well as during building and maintenance during the life
17 of the building.

18 Another concept is prefabrication stairways
19 and prefab walls, putting together parts of a project
20 in a controlled environment and taking the parts out
21 and leveling them together, for lack of a better term.

22 Another good way of looking at it is having

1 parapets built to 39 or 42 inches and uses as fall
2 protection whenever possible.

3 I also tried to point out that as great as
4 this concept sounds, there are barriers to the
5 implementation of prevention through design.

6 Primarily, designers are concerned about
7 having additional liability of worker safety. They are
8 not trained in worker health and safety as well. They
9 don't want that liability and not being familiar with
10 how they are going to deal with it as well.

11 Secondly, building codes conflict and do
12 not always support prevention through design concepts,
13 and then the number one thing around this is just the
14 idea that it's going to cost more money.

15 The question before the committee as I saw it
16 was whether there's a role for the committee and a role
17 for OSHA in addressing designs since OSHA has often
18 been seen as primarily addressing their employer and
19 employee relationship.

20 Through the help of Matt Gillen and others, I
21 was able to show that there are already at least 13
22 references in OSHA construction standards that

1 specifically point to design references that employers
2 and owners have to comply with to comply with OSHA
3 construction standards.

4 Additionally, even though OSHA primarily
5 concerns itself with the employer/employee relationship
6 and the enforcement angle, the OSH Act is pretty broad.
7 The intent of the OSH Act was to promote occupational
8 safety and health.

9 It also empowered NIOSH and OSHA to train and
10 teach and educate about occupational safety and health.

11 Although we tend to narrowly look at it as an
12 enforcement and standard setting organization, OSH Act
13 gives it a lot more power than that, and we shouldn't
14 lose sight of that.

15 After that, Eric Lahaie from the Directorate
16 of Cooperative State Programs, made a presentation on
17 the alliance program, construction roundtable
18 development, and the design for safety fact sheets they
19 developed.

20 OSHA established the alliance construction
21 roundtable to have participants develop and share
22 compliance assistant tools and other resources for

1 workers and employees.

2 The roundtable has been working on addressing
3 some of the biggest hazards in construction, falls,
4 which we heard a lot about today from the Fall campaign
5 and what not, through the development of fact sheets
6 aimed at project designs.

7 One thing about prevention through design
8 right now, the fall angle of construction is well
9 characterized and should almost be implemented
10 immediately in my opinion.

11 The fact sheets are currently housed at the
12 alliance website and are in the process of being
13 formatted for consistency.

14 Eric Lahaie asked the work group for
15 recommendations and ideas for moving forward.

16 In light of what our conversations yesterday
17 evening was about, I'm not really sure what the
18 committee's next steps are.

19 We do believe that DOC should post the fact
20 sheets on the website. They are posted now in the
21 alliance section. The DOC website gets thousands and
22 thousands of hits.

1 We do think this committee should recommend
2 that the DOC move to establish a link to those fact
3 sheets.

4 We do believe DOC should work with the
5 roundtable to develop an outreach and dissemination
6 plan for getting its products to the design community,
7 owners and the public. How that is going to be done,
8 I'm not really sure, but that's a recommendation that
9 definitely came out of the committee.

10 The next steps for the committee as a whole,
11 at this point, it looks like maybe it's time to be
12 sunset in favor of other opportunities, and those are
13 pretty much my comments.

14 CHAIRMAN STAFFORD: Thank you, Walter.
15 Tomorrow at the end of this meeting we are going to
16 have a discussion amongst the ACCSH about the
17 reorganization of the various work groups. I think
18 this is something to consider. I appreciate that
19 suggestion.

20 We have talked about ACCSH having a maximum of
21 six work groups, and it's just a matter of prioritizing
22 our work, and maybe we can consider Walter's suggestion

1 that this is one we set aside for now.

2 There is already an alliance dealing with this
3 particular issue. I think that will just be left for
4 your discussion tomorrow as we figure out how to
5 reorganize the work groups.

6 M O T I O N

7 CHAIRMAN STAFFORD: With that said, I would
8 like to entertain a motion to approve the work group's
9 report.

10 COMMITTEE MEMBER: So moved.

11 COMMITTEE MEMBER: Second.

12 CHAIRMAN STAFFORD: The motion has been made
13 and seconded. Any discussion?

14 (No response.)

15 MR. JONES: I just want to amend the minutes
16 where I have "XXX" there. It should be Eric Lahaie.

17 (Laughter.)

18 MR. JONES: I didn't have the correct spelling
19 of Eric Lahaie's name when I was writing these minutes,
20 so I had a place holder here of "XXX." I just want to
21 amend that.

22 CHAIRMAN STAFFORD: Okay. The motion to

1 approve the work group's report has been made and
2 seconded, and no discussion. All those in favor of
3 accepting the work group report, signify by saying aye.

4 (Chorus of ayes.)

5 CHAIRMAN STAFFORD: Opposed?

6 (No response.)

7 CHAIRMAN STAFFORD: You had two specific
8 recommendations.

9 M O T I O N

10 MR. JONES: I make a motion that the alliance
11 work group post its design for safety fact sheets or
12 have their design for safety fact sheets linked at the
13 DOC main page website.

14 CHAIRMAN STAFFORD: That is your first motion.
15 The motion has been moved. Say it again, Walter.

16 MR. JONES: That the alliance program for
17 construction roundtable -- that they post their design
18 for safety fact sheets on or link their design for
19 safety fact sheets on the main page of the DOC's
20 website.

21 MS. SHORTALL: The alliance roundtable is not
22 composed of only OSHA staff. I think your

1 recommendation would have to be that OSHA do something
2 and not the alliance roundtable.

3 CHAIRMAN STAFFORD: I think the motion is that
4 OSHA take the alliance roundtable materials and post it
5 on OSHA's website.

6 MR. JONES: Yes. Either post or link. The
7 idea is just to get it out there. I think they are
8 heading there and that is what the committee thought.

9 CHAIRMAN STAFFORD: The motion has been made.
10 Do we have a second?

11 MS. SHORTALL: Would you like me to read it?

12 MR. JONES: Please.

13 MS. SHORTALL: ACCSH recommends that OSHA post
14 the alliance roundtable fact sheets on OSHA's web page
15 or create a link to those documents.

16 MR. JONES: Correct.

17 CHAIRMAN STAFFORD: The motion has been made.

18 MR. THIBODEAUX: Second.

19 CHAIRMAN STAFFORD: The motion has been made,
20 seconded, and we had discussions. Those in favor,
21 signify by saying aye.

22 (Chorus of ayes.)

1 CHAIRMAN STAFFORD: Any opposed?

2 (No response.)

3 CHAIRMAN STAFFORD: Was there a second motion,
4 Walter?

5 MR. JONES: I'm not sure if it is necessarily
6 a second motion. Maybe I can get some advice on this.
7 The other thing we all talked about is how DOC should
8 start to work with the alliance program roundtable and
9 figure out a way to disseminate some of these design
10 for safety products to the design community, owners and
11 the public.

12 CHAIRMAN STAFFORD: It sounds like the motion
13 is --

14 M O T I O N

15 MR. JONES: The motion is that DOC work with
16 the roundtable to develop an outreach and dissemination
17 plan for getting its design for safety fact sheets and
18 other products to the design community, owners and the
19 public.

20 Did you get that, Sarah?

21 MS. SHORTALL: OSHA recommends that DOC work
22 with the alliance roundtable to develop an outreach and

1 dissemination plan for getting the roundtable fact
2 sheets and other products to the design community,
3 owners and the public.

4 MR. JONES: Correct.

5 CHAIRMAN STAFFORD: The motion has been made.
6 Is there a second?

7 MR. BATYKEFER: Second.

8 CHAIRMAN STAFFORD: Any further discussion?
9 (No response.)

10 CHAIRMAN STAFFORD: All those in favor,
11 signify by saying aye.

12 (Chorus of ayes.)

13 CHAIRMAN STAFFORD: Opposed?
14 (No response.)

15 CHAIRMAN STAFFORD: Great. Thank you.

16 MR. SHORTALL: Mr. Chair, at this point I'd
17 like to enter into the record as Exhibit 13 the
18 approved prevention through design work group report
19 from the December 13 meeting.

20 As Exhibit 13A, What Does Prevention Through
21 Design Mean to Construction, a PowerPoint presentation
22 by Walter Jones, ACCSH member.

1 As 13B, OSHA's alliance program on
2 construction roundtable prevention through design
3 products and activities PowerPoint presented by Eric
4 Lahaie.

5 As Exhibit 13C, the construction work place
6 design solution for sky light or sky light guards
7 developed by the alliance roundtable.

8 As Exhibit 13D, examples of OSHA construction
9 standards that address design issues presented by
10 Walter Jones, ACCSH member.

11 (Exhibit No. 13 was
12 marked for
13 identification.)

14 CHAIRMAN STAFFORD: Thank you, Sarah. Now we
15 are at the point -- I misspoke earlier -- our agenda
16 calls for a break at 1:45. We are ten minutes ahead of
17 the schedule.

18 This is the pleasure of the committee. I
19 would prefer to move on with our agenda. If you folks
20 would like to break, but it looks like we are all in
21 agreement that we should move on.

22 Next on the agenda is the update on the direct

1 final rule on head protection. I believe Paul Bolon
2 with DOC is going to be doing that presentation. Is
3 that right, Paul?

4 MR. BOLON: That's right.

5 UPDATE ON THE FINAL RULE ON HEAD PROTECTION

6 MR. BOLON: We are running so early that my
7 two experts aren't here. It's a broad topic. I think
8 I can present it all to you.

9 Ted Twardowski is a staffer that works in the
10 Directorate of Standards and Guidance. He has been the
11 main person that has been handling updating the
12 consensus standard from OSHA.

13 This is Vernon Preston. He's staff in the
14 Directorate of Construction who is working on this for
15 Construction.

16 I think we e-mailed to everybody on ACCSH a
17 couple of things about three weeks ago. Two of them
18 were side by side documents that compared the ANSI
19 standards for head protection. One of them compared
20 the 1969 standard with 1997, and the other one compared
21 the 2003 and 2009 standards.

22 The current standard in the construction

1 standard is 1926.100(b). That is on head protection.
2 It requires compliance with Z89.1969 of ANSI. That is
3 what we are going to propose to update with what we are
4 presenting to you.

5 We are going to propose to issue a direct
6 final rule which will update that ANSI standard for
7 construction. It also updates the ANSI standard for
8 all the rest of the industries as well.

9 We are proposing to issue a direct final rule
10 and at the same time will be issuing an accompanying
11 proposal, and the direct final rule will become a final
12 rule if the Agency doesn't receive any significant
13 adverse comment. If we do, we will withdraw that and
14 issue the proposal and go through whatever rulemaking
15 steps we have to, to take that proposal to final.

16 In 2007, the Agency issued a proposal to
17 update the consensus standards on head protection for
18 all the industries except for construction. They
19 actually had an informal rulemaking.

20 At the hearing and comment period, there were
21 a lot of comments that questioned why we had not
22 included construction.

1 That proposal was issued as a final rule in
2 2009. In 2009, ANSI again updated its consensus
3 standard for head protection.

4 The Agency has developed another direct final
5 rule proposal to update the consensus standards for
6 head protection to the ANSI 2009 standard, and this
7 time we're including construction.

8 The way we're updating it in the construction
9 standard is we're replacing the 1969 consensus
10 standard, or this is what we are proposing to do, with
11 either of three ANSI standards, the 1997, 2003 or the
12 2009, and we're doing that just because there will
13 still be equipment out there for a number of years, and
14 there is not a great deal of difference between the
15 last three.

16 The ANSI standards, I don't know if you have
17 looked into them, they looked at the standards for
18 testing, for strength, flammability, color. They have
19 classes now for ones that qualify for electrical
20 protection.

21 If Ted Twardowski was here and you had
22 questions about those fine details, he could answer

1 them. I don't think he's here.

2 That is pretty much it in a nutshell. We're
3 proposing through a direct final rule to update our
4 head protection standards for construction from the
5 1969 ANSI standard to the three most recent ones.

6 CHAIRMAN STAFFORD: Thank you, Paul. I think
7 this may be your colleague.

8 MR. BOLON: This is Ted.

9 CHAIRMAN STAFFORD: Hello, Ted.

10 MR. BOLON: Do you have any questions?

11 CHAIRMAN STAFFORD: Chuck?

12 MR. STRIBLING: Is there any indication of
13 when ANSI will undertake its next revision, the 2009?

14 MR. TWARDOWSKI: They usually update every
15 five years.

16 CHAIRMAN STAFFORD: Any other questions or
17 comments?

18 MS. SHORTALL: I have a couple of questions.
19 Does ANSI require if standards are not updated on a
20 five year cycle that they be withdrawn?

21 MR. TWARDOWSKI: They will usually just
22 re-certify them.

1 MS. SHORTALL: Mr. Bolon, could you explain
2 why the Agency decided using the direct final rule
3 approach would be appropriate for this rulemaking?

4 MR. BOLON: We don't think it's a
5 controversial rule. We don't anticipate receiving any
6 adverse comment. We think by and large the industry is
7 already complying through purchasing new equipment, all
8 of which is produced under the 1997, 2003 or 2009 ANSI
9 standards.

10 MR. STRIBLING: As a direct final rule as
11 opposed to including it in an upcoming SIPS, I am sort
12 of guessing you want to do it as a direct final rule
13 because you can do it quicker that way?

14 MR. TWARDOWSKI: It will probably be faster
15 doing it this way.

16 MR. BOLON: It is already drafted and Ted has
17 included construction.

18 CHAIRMAN STAFFORD: Any other questions or
19 comments? Steve?

20 MR. HAWKINS: Let's assume you chose the 2009
21 or 2012 version, would there be a grandfather period?
22 How would that work as far as phasing that in for how

1 much --

2 MR. TWARDOWSKI: What we have done is taken
3 the most current and the previous two, and as we
4 update, the new one comes out in 2014, the intent was
5 we will take the 2014, the 2009, and the one below
6 that, and eliminate the one before that.

7 MR. HAWKINS: If this one passed now, we would
8 go back to?

9 MR. TWARDOWSKI: 1997.

10 MR. HAWKINS: Move this up from 1969 to 1997?

11 MR. TWARDOWSKI: The life expectancy of PPE is
12 about four years. We went through this when we had
13 this rulemaking in general industry and maritime.

14 I would challenge you to go out and find
15 something to a 1969 standard in the economy. You would
16 probably have to hit every flea market up and down --

17 MR. HAWKINS: I understand now why we have all
18 three versions and I didn't before.

19 CHAIRMAN STAFFORD: Any other questions or
20 comments?

21 MS. SHORTALL: I have a comment. It's
22 interesting that Mr. Twardowski would talk about flea

1 markets and that anyone would be able to find a 1969
2 head protection at a flea market. If Mr. Twardowski
3 wants to do that on the weekends up in Pennsylvania and
4 Massachusetts.

5 (Laughter.)

6 CHAIRMAN STAFFORD: Thank you, Sarah, for that
7 comment. Any other questions or comments?

8 MR. BOLON: Just to clarify, we're presenting
9 this to the full committee so we can listen to your
10 advice and recommendation on updating the ANSI head
11 protection standards.

12 CHAIRMAN STAFFORD: We can have that
13 discussion, but it seems pretty straightforward, unless
14 there is any other comments.

15 MR. RYAN: I move we accept this
16 recommendation.

17 MR. CANNON: I have one question. Kevin
18 Cannon, employer rep. This says OSHA is proposing to
19 issue. Do you know when about?

20 MR. TWARDOWSKI: It's still going through
21 solicitor review, OMB review. We have the Quality
22 Administrative Procedures Act. There are certain time

1 lags built into that.

2 MR. BOLON: There is a little bit of clearance
3 left in OSHA, departmental clearance, and then it goes
4 to OMB.

5 CHAIRMAN STAFFORD: It sounds like the best
6 course of action -- it looks like what we are looking
7 for is a recommendation from the committee that OSHA
8 proceed with the final rule. If someone could make a
9 motion to that effect.

10 MS. SHORTALL: Would you like me to read your
11 motion?

12 M O T I O N

13 MS. SHORTALL: Mr. Ryan moved that ACCSH
14 recommend that OSHA proceed with the direct final rule,
15 proposed rule, to revise OSHA's head protection
16 standard for the construction industry.

17 MR. RYAN: That's exactly what I said.

18 (Laughter.)

19 CHAIRMAN STAFFORD: You're very articulate,
20 Gerry. Second?

21 MR. HERING: Second.

22 CHAIRMAN STAFFORD: Any further discussion?

1 (No response.)

2 CHAIRMAN STAFFORD: The motion has been made
3 and seconded. All those in favor, signify by saying
4 aye.

5 (Chorus of ayes.)

6 CHAIRMAN STAFFORD: Opposed?

7 (No response.)

8 MS. SHORTALL: Mr. Chair, at this time I'd
9 like to enter into the record as Exhibit 14 the
10 presentation on the proposed rule, direct final rule,
11 to revise OSHA's head protection standard for the
12 construction industry.

13 (Exhibit No. 14 was
14 marked for
15 identification.)

16 CHAIRMAN STAFFORD: Thank you.

17 Do you want to work through this agenda and
18 get done or do you want to take a break? Let's go
19 ahead.

20 Our next work group report is going to be the
21 health hazards and construction work group.

22 COMMITTEE MEMBER: We did that this morning.

1 CHAIRMAN STAFFORD: That's right. We're way
2 ahead of schedule. Are we going to hear about sewage
3 treatment plant failure? Is Mr. Ayub here? Is Mr.
4 Ayub in the house?

5 We could have him for tomorrow, if you want to
6 run through the whole agenda and we'll adjourn this
7 thing today.

8 MR. HAWKINS: Second.

9 (Laughter.)

10 CHAIRMAN STAFFORD: Sarah, could you please
11 rephrase Steve's motion?

12 While we are waiting, if anyone signed up for
13 public comment, we would be glad to entertain that now
14 while we have a few minutes. You going to sign up,
15 Kevin?

16 (Laughter.)

17 (No response.)

18 (Pause.)

19 CHAIRMAN STAFFORD: We will get started again.
20 Mohammad, welcome. Mr. Mohammad Ayub is going to give
21 us a presentation on the sewage treatment plant failure
22 in Gatlinburg, Tennessee. Please, the floor is yours.

1 PRESENTATION ON SEWAGE TREATMENT PLANT FAILURE

2 GATLINBURG, TENNESSEE

3 MR. AYUB: Good afternoon. We are going to
4 present to you briefly the investigation that we have
5 conducted on behalf of the Tennessee OSHA, to find out
6 the cause of the collapse of this fairly large
7 structure, about 164 feet long and about 60 feet wide
8 and about 35 feet high.

9 One evening, when the sewage waste and storm
10 water, they were in fact fairly high inside the basin,
11 it could be as high as about 26 feet.

12 The East wall suddenly collapsed, and it fell
13 over a room where there were two employees working.
14 The wall that fell was 18 inches thick, 30/35 feet
15 high. There was no chance the two employees who were
16 in fact engaged in some wall reading or meter reading,
17 they were trying to regulate the flow of the sewage and
18 storm water for the treatment.

19 When this wall fell, there used to be a wall
20 here, the wall fell flat on the ground, crushing a
21 small room here.

22 Here is a picture of that basin. It had no

1 roof over it. These are the baffle walls. The idea of
2 the baffle wall is that when the sewage and storm water
3 gets into here, it should flow around the baffle wall,
4 so the solid can settle down and the water could rise.

5 This was constructed about 1994. There was a
6 consulting engineer and architect called Flint who
7 designed it.

8 We could not get a hold of the Flint engineers
9 because I think the owner passed away and the company
10 is no longer in business.

11 We also tried to get a hold of the contractor
12 who had built this structure, and we could not get a
13 hold of the contractor as well.

14 After we completed our investigation -- when
15 we conduct an investigation, we have two goals. First
16 of all, we try to find out whether the structure was
17 designed properly. If it was constructed as per the
18 design, whether the design was okay or not.

19 Then we try to find out whether the
20 construction was done as per the design. If there are
21 flaws either in the design or if there are flaws in the
22 construction, then we mention that in our report and

1 our conclusions indicate that.

2 The basic flaw was not in the design because
3 we checked the design and the design was fine.

4 We found that -- when you see the red marks
5 here, these are the joints between the baffle wall and
6 the East wall. One joint here, one joint here, and one
7 joint here.

8 There is a thing that is called "cold joint"
9 in the concrete wall. Any time you have a water
10 retaining structure, you don't want to have the cold
11 joint. A cold joint is a joint when this wall and this
12 wall is not cold at the same time.

13 If this wall is cold on Monday, then you come
14 back later, on Thursday and pour, and then you have the
15 cold joint here. The cold joint is not for the water
16 retaining structure because water finds its way across
17 the joint.

18 No matter what you do, no matter how tight you
19 pour, water can get into the joints.

20 Because of the nature of the waste here, the
21 water was fairly acidic and it corroded the rebars at
22 this junction here.

1 Let me just go to some of the slides we have.

2 This is an extract from the design that shows the
3 foundation. It shows the 18 inch thick wall.

4 This is another drawing which indicates the
5 foundation and the wall and the walkway at the top.

6 This is the East wall that had fallen and this
7 is a baffle wall. As per the drawing, these walls
8 should have been poured together. That means there
9 should be rebar coming from the baffle wall going into
10 the East wall on both sides, which we call "dowels," so
11 the two walls can get interconnected.

12 Unfortunately, what had happened was the
13 contractor chose not to use the dowel rebars, rebars
14 coming from the baffle wall going into the East wall.

15 Instead of that, he used what is known as a
16 "coupler." These couplers are fine. You can use
17 couplers in place of the dowels. There is no problem
18 there, except the issue was this had a cold joint.

19 This is the cross section of the baffle wall.
20 Because this wall was not poured at the same time as
21 the East wall, it had resulted in a very small section
22 of the baffle wall due to the cold joint, and when you

1 have this sewage waste, all these walls got corroded.

2 If you can minutely see the coupler, how badly
3 they are, they are all corroded. Throughout, if you
4 can see, all these are couplers. Not only on one wall,
5 here, here, and here.

6 We do not believe that this corrosion damage
7 to the couplers happened in one month or two months. I
8 believe it occurred in 20 years, 15 years.

9 This was an incident just waiting to happen.
10 It just so happened that on that night when there were
11 two employees in the room, this wall failed.

12 There was also something much more interesting
13 that we found here. This is a picture of the East wall
14 that fallen. These are the baffle walls, one, two and
15 three. You can see the baffle wall here.

16 Even if all this connection had been lost
17 here, here and here, why should the wall fail? The
18 wall is 18 inches thick, heavily enforced. Why should
19 it fail? It could sag a whole lot, it could sag six to
20 eight inches, but why should it collapse without giving
21 any kind of warning?

22 We found that if the connection at this

1 location and at the far location, if it was done
2 properly, it would not have collapsed, even with the
3 loss of these joints, even then, it would not have
4 failed.

5 We examined the connection here, and we found
6 that this connection, the contractor did not use the
7 proper embedment of the rebar from this wall to this
8 wall, from this wall to this wall.

9 If you want the rebar to take the tension, it
10 needs to be embedded in the concrete.

11 If you only embed rebar about two inches, it's
12 going to pull out. If you embed it 18 inches, it will
13 take a whole lot more tension on it.

14 We found that the rebars were not embedded
15 properly to the design and as per the Code.

16 Unfortunately, not only did we lose these
17 joints, we also lost this joint here, and it had
18 nowhere to go except to fall. It was amazing to see
19 the entire wall, which is so long and so thick and so
20 high, it just fell. It was a clear failure on the
21 north side and the south side.

22 National OSHA is in fact authorized to extend

1 technical assistance to the state OSHA plans.

2 When we received the call from the Tennessee
3 OSHA, we had agreed to go out there and we spent a
4 couple of days there. We came back. They were very,
5 very helpful to us in providing us all the drawings,
6 all the interview statements, and all the design. We
7 also had some of the concrete tested, and all the
8 results were provided to us.

9 We were able to write a report, but
10 unfortunately, the Tennessee OSHA was not able to issue
11 any citations because as I said, the designer is gone,
12 the contractor is gone.

13 We were also interested to know whether there
14 are some other bases of the similar design, because we
15 would like to caution the owners, look, there is a
16 problem here.

17 Dr. Goodamah tried to contact the Association
18 of Waste Water, and we tried to contact as many as we
19 could, but we could not determine whether or not there
20 are similar designs built by the same contractor
21 because as I said earlier, the design was fine, but it
22 was not constructed as per the design, and we had a

1 basic issue here.

2 We tried to find a combination of the same
3 design and same contractor. We came to the conclusion
4 that there may be somewhere, but we could not determine
5 where.

6 We also wanted to write an alert on this
7 issue, that any time there is a water retaining
8 structure, all the walls should be poured together and
9 there should be no cold joints.

10 We are trying to publish a paper in some of
11 the sewage treatment magazines so that this problem
12 could be highlighted.

13 I have a few more slides. This is the program
14 we ran, it shows what happens with these baffle walls,
15 where are the tensions. This is a summary on the East
16 wall. This is a computer run, when you take out all
17 the baffle walls.

18 Even when you take out the baffle walls, it
19 should be okay. It should be fine. Unfortunately, the
20 connections on the North and South walls was so
21 deficient that it failed.

22 These are the conclusions we reached.

Design

1 of the walls was found to be adequate. The cause of
2 the failure was the deficiency in the concrete wall
3 construction. The contractor used splicing couplers
4 instead of dowels as required by the drawings.

5 By the way, even if he had used the coupler,
6 if there was no cold joint, it would have been fine.

7 We found the cold joint facilitated leakage of
8 the waste water across the joint, and corroded the
9 rebar coupler over the years.

10 The couplers are not believed to have failed
11 all at one time, but failed gradually, and the rebars
12 and couplers were neither galvanized. If the couplers
13 were galvanized, this incident would have happened five
14 years later. It would have brought more life to it.
15 It would not have failed completely.

16 Nowadays, any time we have a water retaining
17 structure, all the rebars are epoxy coated. On bridge
18 work, all the rebars are epoxy coated. They have a
19 green color and that shows they have been epoxy coated.
20 It was not here.

21 Because it was constructed in the early 1990s,
22 perhaps epoxy coated rebar was not available. We also

1 checked the concrete strengths and the quality of the
2 rebar, they were fine. There was no problem.

3 This is the short story of the failure of the
4 waste water basin in Gatlinburg, and Gatlinburg is a
5 beautiful place. That is a real good place to spend
6 your Summer.

7 CHAIRMAN STAFFORD: Thank you very much,
8 Mohammad.

9 MR. RYAN: Was it leaking on the corners,
10 Mohammad?

11 MR. AYUB: I cannot tell. When I went there,
12 the wall was already flat, and I went about two to
13 three months after that, so I could not tell.

14 MR. RYAN: It might not have been because they
15 might have coated the interior of that over the years a
16 few times, too.

17 MR. AYUB: Yes.

18 MR. RYAN: How about the other wall, the
19 opposite wall, was that constructed the same way? Do
20 you know?

21 MR. AYUB: No. To the best of our knowledge,
22 the West wall and baffle walls were all poured

1 together. There was no cold joint on the West side.
2 Only on the East side.

3 MR. RYAN: I'm not an engineer but it's pretty
4 much a common sense thing. I think they cut it short
5 because they didn't have enough form work to do the
6 whole thing.

7 MR. AYUB: Maybe the concrete supply was not
8 there properly, they said we can only furnish you so
9 many cubic yard of concrete.

10 CHAIRMAN STAFFORD: Any other questions or
11 comments?

12 MR. GILLEN: I have a question. You said
13 there were no citations because the designer was gone
14 and the builder was gone. Had they been there, were
15 there actual OSHA citations and what are they?

16 MR. AYUB: That's a very good question. There
17 is case law that says the architects and structural
18 engineers are not engaged in construction. However, a
19 contractor is engaged in construction.

20 If you had gotten a hold of the contractor and
21 if you can prove he did not follow the design and
22 drawings which were provided to him, then he had placed

1 the lives of his employees in danger, and we would have
2 done something.

3 MR. GILLEN: You said "case law." To me, I
4 think that's like liability, but for OSHA. What would
5 you have cited?

6 MR. AYUB: We would have cited them 5(a)(1),
7 the concrete standards, which say all the rebar should
8 have been placed properly.

9 There are a few standards in the concrete
10 section which we would have cited.

11 MR. GILLEN: Sometimes I think one of the
12 important sources of information that I don't think we
13 ever tap that well is when there is a fatality
14 investigation and a pretty thorough investigation of
15 what's happened, what has occurred, yet there is no
16 specific regulation to cite there was a hazard there.

17 That's useful information. We tend to think
18 of our regulations -- we have so many of them, and that
19 they cover every possible situation, but in reality,
20 because over time they get outdated and things of that
21 sort, there are gaps there.

22 It's useful when we have an investigation, if

1 it identifies a gap, to point that out.

2 I would love to hear more where there was a
3 fatality, there was a problem, but there is not a
4 regulation there or there is not a provision and
5 there's a gap there, to put on the list of things to
6 think about.

7 MR. AYUB: Here's a story. We are right now
8 writing a report on a structure collapse in Long
9 Island, New York. We have come to the conclusion
10 without telling you the name of the contractor,
11 architect or structural engineer, that it was a
12 structural design flaw. That is what caused the
13 accident.

14 Unfortunately, as I said earlier, there is
15 case law that for OSHA to cite architects and to cite a
16 structural engineer -- the case law says these guys are
17 not engaged in construction.

18 I always wondered what else are they engaged
19 in.

20 (Laughter.)

21 MR. AYUB: It is their design which is being
22 used at the site. Their design is not to be framed on

1 the wall. It is being implemented. There are workers
2 going on the top of the roof, below the roof, and if
3 they are not engaged in construction, what else are
4 they doing there.

5 There is a gap here. Perhaps one day, OSHA
6 may like to appeal that case law. Even if we need to
7 go to the high court, we should go.

8 MR. GILLEN: Can you identify what the case
9 is, for some of us who are interested in prevention
10 through design?

11 MR. AYUB: The case law? No, but I can find
12 out.

13 MR. JONES: If you could forward it on to the
14 committee, it would be helpful.

15 MR. AYUB: Sure. Out of all the construction
16 collapses that we have investigated, 20 percent of them
17 are caused by a structural design flaw. I wrote a
18 paper on it.

19 MR. GILLEN: I have the paper.

20 MR. AYUB: There you go.

21 (Laughter.)

22 MR. AYUB: It is really amazing. It is a very

1 high number. There is no excuse. They just cannot
2 keep doing this.

3 MR. JONES: Why do you think OSHA does not
4 consider this a priority?

5 MR. AYUB: As Jim said, it is well above my
6 pay scale.

7 (Laughter.)

8 CHAIRMAN STAFFORD: Tish?

9 MS. DAVIS: I just have two comments. I
10 believe, and correct me if I'm wrong, that for all the
11 fatality investigations, OSHA includes a variable on
12 whether or not a standard has been violated. Isn't
13 that correct? I don't know if it specifies whether or
14 not the standard is related to the fatality, if there's
15 a distinction.

16 Sometimes you can do an investigation, find
17 something wrong, but it actually had nothing to do with
18 that.

19 We look at that every year.

20 MR. AYUB: Our citations do not have to be
21 tied in with the cause of the collapse because we
22 decide if there is a violation of the standard,

1 collapse or no collapse.

2 MS. DAVIS: What is useful from a regulatory
3 point of view is finding out if there was a standard
4 that was pertinent to the incident itself.

5 MR. HAWKINS: In a case like this, there may
6 be standards that are pertinent, but because there is
7 no one to issue them to, they're not going to show up
8 in IMIS. There is not going to be an entry in IMIS
9 telling you what they might have been, had there been
10 someone to issue them to.

11 MS. DAVIS: The question that I need
12 clarification on is in manufacturing, all the time we
13 have fatalities related to design flaws. We never cite
14 the designers, we cite the employers. It happens all
15 the time, all kinds of machinery.

16 With structural collapse, whoever owned this
17 building, the public agency that owned this building --

18 MR. HAWKINS: Tish --

19 MS. DAVIS: I need some clarification. It's
20 just different.

21 MR. HAWKINS: What this was, the City owned
22 the facility. The City had hired an outside company.

1 Do you remember the name, Mr. Ayub? It was an outside
2 company to operate the facility.

3 Immediately after the collapse, one of the
4 things we did was we interviewed all the people at the
5 site, and we couldn't find any evidence that there was
6 any indication that a collapse was imminent or even
7 could be suspected.

8 There was not leaking at those corners. There
9 had been no pulling away. It wasn't the kind of thing
10 where people said yeah, we have been seeing it sagging.
11 There was none of that. It was sudden and completely
12 unexpected.

13 As Mr. Ayub said, it was built in 1993 or
14 1994. The architect who designed it had passed away.
15 The company had long been out of business that built
16 it.

17 We had no way to issue a citation. One of the
18 things we have to prove when we issue a citation is
19 that the employer had knowledge or should have had
20 knowledge.

21 Because these defects were hidden, Mr. Ayub
22 will tell you that cold joint won't show up until the

1 wall is collapsed. You are really not going to be able
2 to see that because there is a walkway built on top of
3 it.

4 The circumstances as to why there was not a
5 citation issued had to be with employer knowledge
6 primarily. Had we interviewed those employees and they
7 said oh, yeah, we have been seeing this, we have been
8 asking our boss about it, we have been worried about
9 this, and we found any shred of evidence like that, we
10 would have issued a citation possibly to the City but
11 certainly to the operator had we been able to document
12 that knowledge. We were not able to.

13 Going beyond that, it would be very
14 problematic for Tennessee or Federal OSHA to issue a
15 citation to the designer or even to the constructor for
16 something that had been hidden since 1994.

17 You could do it but rather you would prevail
18 in front of the review commission would be --

19 MR. BARE: And the six months.

20 MR. HAWKINS: That's the other problem, you
21 have that six month window. You could argue that
22 perhaps the employer

1 -- the contractor's employees were exposed to the
2 hazard when they were building it, but as Ben said,
3 that was way more than six months ago. He probably
4 wasn't there when the water entered it. His people
5 were long gone. Really, they weren't exposed to the
6 hazard.

7 I don't know if anybody else has any questions
8 about the investigation.

9 MR. GILLEN: I'm not an engineer. To me, the
10 designer designs something and then the constructor did
11 something different, and it should be that somebody who
12 is an engineer signs off that it's okay to do it that
13 way, to depart from the design.

14 MR. HAWKINS: There would be, but the
15 contractor either did one of two things. Either they
16 didn't read the drawings correctly or they just made a
17 decision this is just as good as doing it the way it's
18 designed.

19 It's really funny. I'm just now starting to
20 understand this. I worked for a geological engineering
21 firm, a geotech firm, when I was in college. There is
22 some animosity in the field between the builder and the

1 engineer and they both think the other one is stupid.

2 My daughter graduated with a civil engineering
3 degree last year and has worked in the field some. She
4 went out to the field on some towers they had designed,
5 and she said they were just eating them up because one
6 leg of this tower was in a sink hole that the
7 topographic drawings didn't show, and that's one of the
8 reasons you go on site and verify all this stuff. They
9 had to go back and relocate.

10 Anybody that has been in this business very
11 long knows that any business that has engineers and
12 builders together, there is not a lot of love there.

13 The thing that is missing probably from this
14 that may or may not have been in place, we didn't
15 discover it, but a lot of times it is, a lot of times
16 the owner will hire a third party to look out for their
17 interest and they will do quality control inspections.

18 We weren't able to find that. The geotech
19 firm that I worked for, one of the jobs that we had
20 frequently, and we would use field tech's like I was at
21 the time, to be quality control inspectors.

22 You are putting an engineer in the field, and

1 if the contractor brings in too large a rock or puts
2 too large a rock in the field, you have to dig them up.
3 We might run concrete tests. We might run slump tests
4 on the concrete.

5 What we don't know is if there was a quality
6 control entity working. We weren't able to find there
7 was, on behalf of the owner. It was not as common in
8 1994. It's pretty common now because of a lot of the
9 lawsuits and the bonding issues.

10 MR. RYAN: The owner of this property is the
11 City of Gatlinburg.

12 MR. AYUB: To answer your question, generally
13 speaking, on large projects, if a contractor wants to
14 deviate from the details shown on the design plans, he
15 cannot do it on his own. He has to get an approval
16 from the structural engineer that look, I cannot follow
17 your design, but I'm going to do this, is that fine or
18 not.

19 The structural engineer will either have to
20 approve or disapprove.

21 You are talking about 1994.

22 CHAIRMAN STAFFORD: Dan?

1 MR. ZARLETTI: Dan Zarletti, employer rep.

2 When you said "by a structural engineer," don't you
3 mean by "the structural engineer" of the drawings?

4 MR. AYUB: Exactly. In some real cases, if
5 the structural engineer is not willing to approve it,
6 then the contractor can in fact hire another structural
7 engineer who will speak on his behalf to the structural
8 engineer, and these two will have to have a meeting of
9 the mind whether or not it can be approved.

10 On the other hand, if the structural engineer
11 who had designed it, he has the last word.

12 MR. GILLEN: Is the report on the web? Is
13 there a Quick Take? Is the report you did available?

14 MR. AYUB: It is on the OSHA intranet, only
15 for OSHA.

16 MR. GILLEN: It's not public?

17 MR. HAWKINS: It's a public record, Matt.

18 CHAIRMAN STAFFORD: Thank you, Mr. Ayub. Any
19 other questions or comments?

20 MR. HAWKINS: One other interesting factor to
21 this whole thing is we were told early on that the
22 engineers would be looking at this and they would issue

1 a report, we would get a copy of their report and we
2 could look at the findings.

3 As it came down to that six month window,
4 everybody got extremely quiet. Finally, somebody did
5 admit the report would not be coming forth within the
6 six month window, and it might be years before a report
7 was actually issued.

8 Everybody quit talking. We had to issue
9 subpoenas to talk to people. We would have been in a
10 very difficult position had the Directorate of
11 Construction and Region IV not helped us get Mr. Ayub
12 to the site very quickly.

13 This committee has heard from him several
14 times, but I'm not sure you all realize what a helpful
15 gentleman this person really is, as a technical
16 resource for OSHA everywhere, for the state OSHA, the
17 Federal OSHA.

18 I just want to go on record having thanked
19 Mr. Ayub for his work and the timeliness that he did
20 this. He turned this around very, very quickly, and we
21 were able to have real answers to give to the media
22 when the six month time window came up, because people

1 wanted to know what happened.

2 If a family member -- I think this is
3 something we forget that OSHA does. The families of
4 these two men that were killed, at least they know the
5 circumstances and what occurred.

6 Had I not been able to access Mr. Ayub and we
7 would have gone to the six month window without this
8 report, they might not have ever known what really
9 happened that caused this accident.

10 I want to thank you publicly for your work.

11 (Applause.)

12 CHAIRMAN STAFFORD: Yes, thank you.

13 Ms. Shortall?

14 MS. SHORTALL: I would like to enter into the
15 record at this point as Exhibit 15, Flow Equalization,
16 Basin Wall Collapse at Waste Water Treatment Plant in
17 Gatlinburg, Tennessee, a PowerPoint presented by
18 Mohammad Ayub from Directorate of Construction.

19 (Exhibit No. 15 was
20 marked for
21 identification.)

22 CHAIRMAN STAFFORD: Thank you.

1 MR. GILLEN: It is really interesting. What
2 OSHA does is so important, and a lot of times it is at
3 that work site where the inspection is done in finding
4 things, but in some respects, there is an impact they
5 can have beyond that site, but that's only related if
6 the word gets out.

7 I think Mr. Ayub's work is so important. It's
8 sort of low likelihood but high impact. A lot of what
9 his stories are about or investigations are about is
10 really what we would think of as catastrophic things.

11 I would like to encourage OSHA, whether it's
12 through a motion or something like that, to sort of try
13 to develop some fatal facts or some articles, whether
14 the engineering community or the safety and health
15 community, about these investigations, to get the word
16 out so it can have a broader impact.

17 What do people think of that?

18 MS. DAVIS: This is exactly what the NIOSH
19 funded space program does. It investigates incidents.
20 We bring in engineers. We use prevention through
21 design people.

22 In fact, I think most of the times our reports

1 are so late that they don't influence the particular
2 work site, but they influence the industry, the
3 community.

4 We have been talking with OSHA. My feeling
5 has been we only do ten deaths a year in Massachusetts,
6 but there are all these other deaths, and there is all
7 this expertise in OSHA, and people who know and think
8 about these things, but they do their investigations,
9 they issue their citations, and they go on.

10 That hasn't been their job. We have been
11 talking about a collaborative effort, where when cases
12 are closed at OSHA -- we don't frankly have the
13 resources either, there is a resource issue here, but
14 the concept is that those files and that information,
15 when there is a real prevention message in a fatality
16 that is new, especially when it's an emerging issue or
17 something that hadn't been identified before, that if
18 OSHA can't do it, there might be some partnering to
19 translate the information into really broadly
20 disseminated materials.

21 We put our reports in trade journals. We send
22 them out to mailing databases.

1 There is some potential there, I think.

2 MR. BARE: We recognize that also. We have
3 worked -- Mohammad has worked with engineering
4 magazines and published our accident information.
5 Within the last year, we had a very nice article about
6 the work he had done, highlighting three or four of our
7 accident investigations.

8 When we have the opportunity to share his
9 accident investigations and these engineering reports,
10 we certainly do that.

11 I don't know if you are talking about more of
12 a process or a procedure to have that done, we could
13 probably improve on that.

14 We recognize this as very important, sharing
15 that information with the public, regardless of whether
16 we issue citations or not.

17 CHAIRMAN STAFFORD: Thank you. We have gotten
18 through our agenda generally for the day. If there is
19 no one that signed up for public comment, and I don't
20 believe there has been any new people, we will adjourn
21 for the day and reconvene tomorrow morning at 8:00.

22

1 (Whereupon, at 2:40 p.m., the meeting was
2 adjourned, to reconvene the following day, Friday,
3 December 16, 2011, at 8:00 a.m.)

4

5 * * * * *

6

7

8

9

10

11

12

13

14

15

16

17

18

19

20

21

22